

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
CENTERS FOR DISEASE CONTROL
NATIONAL INSTITUTE FOR
OCCUPATIONAL SAFETY AND HEALTH

+ + + + +

ADVISORY BOARD ON RADIATION AND
WORKER HEALTH

+ + + + +

WORK GROUP ON BROOKHAVEN

+ + + + +

THURSDAY,

FEBRUARY 14, 2013

+ + + + +

The Work Group convened telephonically at 3:00 p.m., Eastern Standard Time, Josie Beach, Chair, presiding.

MEMBERS PRESENT:

JOSIE BEACH, Chair
HENRY ANDERSON, Member
BRADLEY P. CLAWSON, Member
WANDA I. MUNN, Member
GENEVIEVE S. ROESSLER, Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

THEODORE M. KATZ, Designated Federal
Official

TIM ADLER, ORAU Team

ISAF AL-NABULSI, DOE

RON BUCHANAN, SC&A

ZAIDA BURGOS, NIOSH

GRADY CALHOUN, DCAS

JASON DAVIS, ORAU Team

JOE FITZGERALD, SC&A

JIM NETON, DCAS

GENE POTTER, ORAU Team

LAVON RUTHERFORD, DCAS

DENNIS STRENGE, ORAU Team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

AGENDA ITEM	PAGE
Welcome and roll call.....	4
- Discussion of basis for BNL SEC.....	4
Class end date	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 P-R-O-C-E-E-D-I-N-G-S

2 (3:00 p.m.)

3 WELCOME AND ROLL CALL

4 (Whereupon, roll call was
5 accomplished.)

6 MR. KATZ: The agenda for the
7 meeting, which is very, very simple, is posted
8 on the website under the Board under Meetings
9 for today. And I don't believe there are any
10 documents posted for today. And let me just
11 remind everyone on the phone to mute your
12 phone except when you're speaking to the
13 group. If you don't have a mute button, press
14 *6 to mute and then press *6 again to come off
15 of mute. Thank you, everyone.

16 CHAIR BEACH: Okay. Thanks, Ted.
17 Good afternoon, everybody.

18 - DISCUSSION OF BASIS FOR

19 BNL SEC CLASS END DATE

20 CHAIR BEACH: The purpose of this
21 meeting today is to discuss the 1993 cutoff
22 date for Brookhaven SEC. As you remember, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1993 was the year BNL implemented a revised
2 internal monitoring program and records were
3 more centralized. SC&A sampling did raise
4 some questions about the consistency and
5 completeness of the bioassays, especially for
6 the years 1994 through the end of 1996.

7 We have four pertinent documents
8 for today's topic. The first one, if you go
9 back to March 2012, was SC&A's evaluation of
10 Brookhaven SEC-00196, the issue of the end
11 date of 1993. The next memo was issued on
12 1-4-13. That was NIOSH's response to SC&A's
13 memo.

14 And then we have a document that
15 was issued on 1-10-13, SC&A's evaluation of
16 the NIOSH White Paper. And then the next one
17 was issued on 2-7-13. That was also a NIOSH
18 paper. And then the last one we received was
19 a response on -- I believe, Ted, you sent that
20 out on the Wednesday, Tuesday or Wednesday of
21 this week, which was SC&A's response embedded
22 in NIOSH's paper.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I am not sure at this point if
2 SC&A wants to get started or NIOSH.

3 MR. FITZGERALD: Well, I think, you
4 know -- Josie, this is Joe. I think we can
5 walk through -- I mean, we have had iterative
6 responses, but the main response was to
7 NIOSH's White Paper from, I guess it was,
8 January 4th. Is that correct? I believe it
9 was January 4th.

10 CHAIR BEACH: Right.

11 MR. FITZGERALD: And our response
12 of last month was put together by Ron Buchanan
13 at the request of the Work Group mainly to
14 deal with the question of -- you know, the '93
15 end date, which is the subject of this review,
16 was based on a major programmatic change at
17 Brookhaven, which was to centralize and make
18 the -- certainly the bioassay records more
19 rigorously maintained and available. And that
20 benchmark or milestone was the basis.

21 And the Work Group asked SC&A for
22 some means of validating that, in fact, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 records were more complete than they had been
2 before '93. And Ron Buchanan had proposed and
3 went ahead and developed a sampling exercise
4 based on those workers who were deemed to be
5 part of bioassays on a regular basis and see
6 whether or not, in fact, those records were
7 available for those individual workers.

8 I guess I think it would be useful
9 to have Ron walk through the response that
10 SC&A issued because that is basically the
11 basis for our question regarding this '93 to
12 '96 period.

13 You know, even though the
14 programmatic change took place in '93, I think
15 our concern is that from these sampled
16 workers, we were still seeing a fair amount of
17 fluctuation in terms of the completeness of
18 their records. And this fluctuation seemed to
19 ebb by the end of '96, and you see a pretty
20 constant pattern thereafter. I thought the
21 set of bar graphs that Ron presented in his
22 presentation were pretty indicative of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So that is kind of where we are at
2 at this point. Ron, do you want to add
3 something?

4 DR. BUCHANAN: Well, I can go
5 through a summary because I don't know if
6 everyone is aware of -- you know, it's been a
7 year or so since we discussed this. I think
8 it would be advantageous for me to just go
9 through why this was done and the results and
10 the limitations of the result if that would be
11 okay.

12 CHAIR BEACH: Yes. Ron, this is
13 Josie. I think that would be a great idea.
14 Thank you.

15 DR. BUCHANAN: Okay. And so, just
16 to elaborate a little bit on what Joe
17 summarized pretty well and to give you a
18 little more detail on the background of this,
19 Brookhaven, as you know, is a research lab and
20 always has been. And it did not lend us the
21 luxury of having a production facility there
22 that -- even like Los Alamos or Oak Ridge,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they didn't have anything there long term that
2 you could say, "Well, you know, these workers
3 probably should have been bioassayed."

4 And so when this came up in that
5 they implemented a centralization of the
6 bioassay records in the early '90s, this was
7 good, but then we wanted to see, you know, if
8 the results were actually there.

9 And so I looked around the lab and
10 looked at its history and its operation and
11 everything in the '90s because this was a
12 period of transition from 1990 to '99 and to
13 see where I could find some facility that
14 would indicate that they would require a
15 bioassay or may require a bioassay. And this
16 is fairly difficult with Brookhaven because
17 they are diverse and changing manifest.

18 And so the accelerators, of course,
19 think they're in pretty much high-energy,
20 low-current. So you don't have a lot of
21 bioassay requirements there. A lot of the
22 other things were just short campaigns or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 research projects. The only thing I could
2 really find that would give us any indication
3 of some routine requirements that we could
4 look back from today on was the high-flux beam
5 reactor that operated here in the 1990s.

6 And so what I did is I went in and
7 looked at some people. Of course, you had to
8 have claims for them so that we could look at
9 the records. And I could only find five
10 people that worked at the reactor, had claims,
11 and had titles that would indicate that they
12 might fall into the requirement of having
13 bioassays.

14 And these five claims worked there.

15 Three of them had job titles that would
16 indicate they worked there pretty steady at a
17 job that would indicate bioassay requirements.

18 And there was a publication in the early '90s
19 saying when bioassay requirements should be
20 performed or when they should be performed
21 when they started implementing this in
22 bioassay programs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So they fell under that. Now,
2 again, it isn't a hard and fast thing judgment
3 what the worker title is that they might need.

4 So it was a total of five. And three out of
5 those five would indicate that they would need
6 bioassay.

7 So what I did is I looked at these.

8 Now, I would like to explain right up front
9 this is a very small sample. I normally would
10 go look for other or use other bigger samples
11 if it was available, but this was just a small
12 snapshot into, you know, a bigger picture that
13 we really don't have a full picture of it
14 because we don't know what all required
15 bioassay there because there was a lot of
16 short-term work.

17 And so this was what I used to look
18 at the record completeness. And that's our
19 subject here, is record completeness and
20 availability for today's dose reconstructions.

21 And so I initially did this about a
22 year ago. And that is where your figures 1A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and 2 and 3 and 4A come from. And then I
2 issued that last spring. And then Brookhaven
3 apparently came around and got a better system
4 together to give NIOSH the data. And so they
5 filled in some of the gaps that were missing.

6 And so when I reworked this very
7 recently, I went back to the DOE files to see
8 if there was more data available for these
9 five workers that I was concerned with. And
10 so that is the B figures. The A figures are
11 last year. The B figures are this year. And
12 so we really can't use A figures because that
13 data has been superseded by the B figures. So
14 we are looking at 1B. And I just put in the A
15 figures to show how much difference it made in
16 case someone was wondering, how much
17 difference this means for these five workers
18 for being held together, their program, and
19 make it more useful to NIOSH for dose
20 reconstruction.

21 And so in figure 1B, we have -- I
22 broke it up into tritium and whole body. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 looked at the monthly tritium bioassay. And,
2 say, if they were bioassayed every month for a
3 year, then that was 100 percent. If there's
4 six months out of a year, that's 50 percent.
5 And I did that for the five workers, and then
6 I did it for the three workers that we were
7 pretty sure should have bioassay. The other
8 two might have been questionable if they
9 changed jobs or worked in the target area or
10 something like that.

11 And so that's the reason that we
12 have this separated up into four plots. We
13 have five workers for tritium. We have three
14 workers for tritium of those five. And then
15 we have whole body counts for the five
16 workers, whole body counts for the three
17 workers.

18 And so that is essentially what is
19 one percent in those plots and to look at the
20 trend. Now, really, this small a sample, hard
21 and fast data, you know, it's hard to pin
22 because it is just a few data points one way

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or the other. But, you know, what I wanted to
2 look at was a trend. Does this show that the
3 bioassay records are available, you know,
4 starting in '93, that there's not much
5 fluctuation or how do they compare to the
6 prior years in '93?

7 And so in 1B, there, we see this as
8 a 5 workers' tritium. And we see that the
9 years '94, '95 are lower than the years for
10 '90 through '93. And then it's good, better,
11 ranges 75 percent in '96 and levels out.

12 And then in 2B, this is the tritium
13 for the 3 workers. We see a very similar
14 trend, same thing, indication. And then in 3B
15 for the whole body counts, we see a similar
16 trend except that 95 looks better for the 5
17 workers. If we go to the 3 workers in 4B, we
18 see that it is 97 before the whole body count
19 fluctuations level out.

20 And so this is where we came up
21 with a conclusion that is it a solid sampling
22 a lot of workers and that? No. Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 snapshot an indication that might raise
2 questions. And are there fluctuations that
3 they place? Yes.

4 And so I agree it is a subjective
5 question as to when does the data patiently
6 complete and when it is? But SC&A's being in
7 -- the fluctuations do cause some shadow of
8 doubt up to about '96.

9 And so I responded to Grady's
10 comments. The most recent one was just a
11 couple of days ago. I didn't really have time
12 to get out an official paper on that. But,
13 again, it is just reiterating our position and
14 responding to each case that was discussed.

15 But I would like to emphasize again
16 that you take the small number like just as a
17 tool. The overall picture of the program was
18 implemented. You had an implementation time.

19 You had a work in process sort of thing. And
20 then when did it kind of level out?

21 So that is our position at this
22 time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIR BEACH: Thanks, Ron. This is
2 Josie again.

3 Are there any questions for Ron?

4 MEMBER ROESSLER: This is Gen. Am
5 I off mute?

6 CHAIR BEACH: Yes.

7 MEMBER ROESSLER: Okay. I was
8 following Ron's figures as he was talking.
9 And he did qualify that this is a very small
10 amount of data and so on. I guess I'm trying
11 to come up with from what he said -- what is
12 the conclusion? What is SC&A recommending
13 with regard to dates?

14 DR. BUCHANAN: Okay. Yes. This is
15 Ron. What we would recommend was, instead of
16 ending on December 31st, 1993, that the SEC
17 end on December 31st, 1996.

18 MEMBER ROESSLER: Well, I have a
19 hard time seeing that in the data because of
20 the small sample and the fluctuations. At
21 least I would like to hear some response from
22 NIOSH on what their conclusion is with your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 proposed dates.

2 MR. CALHOUN: Okay. This is Grady.

3 I'll be glad to do that. Basically what I
4 did was, first of all, we responded or looked
5 at the first report from SC&A. And we wanted
6 to separate out the SEC portion from the TBD
7 section of that. So I really just looked at
8 the five cases that were the subject of that
9 report.

10 And Ron is right. BNL we have
11 noticed through just our dose reconstruction
12 process that we're receiving many, many more
13 records than we used to. And, just to remind
14 everybody, the SEC was established -- the
15 first one is the 83.14 -- was established
16 because we just felt that we weren't getting
17 records. And one of the keys was that we were
18 finding records that we had captured on our
19 data capture trips that weren't being provided
20 by Brookhaven.

21 Some of the recent submissions,
22 it's routine for them now, but what they do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 now is they'll go through. They do a hard
2 copy search. They do electronic copy search.

3 And they provide a much more voluminous
4 response to our request.

5 After I looked at the first SC&A
6 response, I rerequested data for four of those
7 cases. I actually had written five, but we
8 had one that was pretty recent. So I only
9 requested it for four of the five cases. And
10 we got very, very large responses back. And
11 in no case did we still have data that they
12 didn't provide. As a matter of fact, they
13 provided data that we hadn't had up to that
14 point. So that made me feel a lot better.
15 And that is their standard operating procedure
16 now for responding to our data request.

17 So I went through that. And I
18 think Ron feels my pain. We have thousands of
19 pages to go through for these five cases. So
20 we went through these five cases. And I was
21 trying to make a determination of whether or
22 not the data was there, number one; and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether or not they really were required to
2 have been monitored. And the requirement is
3 typically 100 millirem, the potential to
4 receive 100 millirem, for a year.

5 My response went through case by
6 case. And I had to take it a little bit
7 simpler because the graphs are pretty, but I
8 actually wanted to know what case and what
9 piece of data from each case was missing that
10 has caused concern. And Ron provided that to
11 me.

12 And so I went through the five
13 cases. And, you know, we can go through these
14 case by case if you'd like. There are five of
15 them. But in no case did I feel that either
16 the person was monitored and the data wasn't
17 there or that they should have been monitored
18 and weren't monitored. And in all of these
19 cases, I believe that the dose reconstruction
20 can be completed.

21 And so that is where we stand.
22 Like I said, if you want to go through case by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 case, I would be glad to give you a synopsis
2 of that.

3 MEMBER ROESSLER: Grady, when you
4 say it can be completed, to what date? Give a
5 date on that. I think we are talking about
6 the question of ending the period in '93 or
7 possibly extending it. So tell me when you
8 say it can be completed --

9 MR. CALHOUN: I believe the current
10 date of 1993, the end of 1993, is good still.

11 MEMBER ROESSLER: Okay. That
12 helps. Thank you.

13 CHAIR BEACH: Okay. This is Josie
14 again. Any other questions for Ron or Grady?

15 MEMBER CLAWSON: Josie, this is
16 Brad. You know, I'm sitting here looking at
17 this data, too. And wasn't it true that '93
18 is when they were supposed to have started --
19 and this is for Grady, I guess -- that they
20 started this more centralized information
21 centralizing it a little bit?

22 MR. CALHOUN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER CLAWSON: I guess my thing
2 is I say '94, '95, '96, there's a little
3 improvement but not much. You know, like
4 anything you implement, it takes a while to
5 get that into the process because these people
6 have been going for how many years now and
7 haven't really been doing this good at
8 recordkeeping or anything else.

9 And my question is, what are we
10 going to be able to do with this data, say
11 that we went with NIOSH, because to me a lot
12 of this is just is the glass half full or is
13 the glass half empty type of a process here.
14 Are we going to build a coworker data to be
15 able to use this with or what are we going to
16 do?

17 MR. CALHOUN: In many of these
18 cases -- well, there aren't many because there
19 are only five, but we have data before and
20 after some of these holes. So we would apply,
21 like we typically do, mixed dates, mixed dose.
22 Now, I don't believe there are holes. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 believe that people shouldn't have or didn't
2 require monitoring. Let me go through an
3 example.

4 One of the first cases -- we have
5 an individual. And the issue was there was not
6 tritium monitoring for '94 and '95. Okay? So
7 that causes that draft to look bad in '94 and
8 '95. The individual retired [identifying
9 information redacted]. So I look back at all
10 of his data. And he had somewhere along the
11 lines of 12 to 15 tritium samples taken prior
12 to 1994. All of them were zero. The vast
13 majority of all the external dosimetry was
14 zero. So that indicates to me that there was
15 no need to monitor this individual past 1994
16 because the potential for 100 millirem wasn't
17 there.

18 It just seems odd that the records
19 were there since 1985 or 1989, I guess, all
20 the way up through 1993 and then they would
21 have stopped. So I believe that there was a
22 conscious decision made to not monitor that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual.

2 So that's why I had to take a more
3 individualized approach and look at the cases
4 and see what the person did and what the
5 results of the dosimetry were that we had in
6 hand and try to determine if I thought that
7 necessitated some kind of thought that we
8 wouldn't have data or that he was monitored
9 and the data was missing or should have been
10 monitored and wasn't. And that is just one of
11 the five cases.

12 MR. FITZGERALD: Grady, this is
13 Joe.

14 MR. CALHOUN: Yes?

15 MR. FITZGERALD: Just to clarify,
16 you're saying that you would interpret that
17 period of time as a period of time when he
18 would not have or should not have been
19 monitored, but we don't know for sure?

20 MR. CALHOUN: Right. I have no
21 reason to believe he would have to be
22 monitored. Based on all the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 documentation that I have seen, it is hard to
2 believe that the records were there for '89,
3 '90, '91, '92, and '93 and then, all of a
4 sudden, they disappeared for the year and a
5 half before he retired.

6 MR. FITZGERALD: Yes. I guess my
7 point is we don't know. There's no way to
8 corroborate that. We just would be forced to
9 speculate as to what his status is?

10 MR. CALHOUN: What do you mean
11 "what his status" was?

12 MR. FITZGERALD: Well, you're
13 saying his records are missing for the last
14 year, year and a half before he retired.

15 MR. CALHOUN: No. I don't think
16 his records are missing because I have got a
17 data drop also that is a computer printout of
18 probably all of the people but many, many of
19 the people at Brookhaven and when the dates of
20 their tritium analysis were taken and what the
21 results were. And they go well beyond 1995.

22 MR. FITZGERALD: So he is just not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in that listing?

2 MR. CALHOUN: This individual -- he
3 is in the listing, but his end is in 1993. So
4 it indicates to me that there was no
5 monitoring of this individual. So I can't
6 draw the conclusion that monitoring was
7 performed and we don't have the data.

8 And the SEC is based on the fact
9 that the data was missing. So in that
10 specific case, there's no reason to believe
11 that he was monitored and the data doesn't
12 exist. It's more likely that the data -- he
13 just wasn't monitored.

14 DR. BUCHANAN: This is Ron. I
15 would like to inject on that case. He did
16 have whole body count records for '94-'95. So
17 that could be one way or the other to say,
18 "Well, if you had whole body counts or didn't
19 have tritium counts," usually tritium counts
20 are easier than whole body counts. But then
21 they did have the whole body count records.
22 So that's another issue to consider for this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 order, that he did have whole body counts for
2 '94 and '95.

3 MR. CALHOUN: Right. And those
4 whole body counts were used in dose
5 reconstruction.

6 MEMBER MUNN: This is Wanda. You
7 know, what we're talking about and who we are
8 talking about here needs to be taken into
9 consideration. This was, as has been pointed
10 out, not a production facility, never has
11 been. This is a facility that does not have a
12 lot of casual workers wandering through,
13 doesn't have people who are not truly prepared
14 for and understand the projects they are
15 involved in.

16 This is a clean facility. We don't
17 think of it as -- we have seen it. And it
18 never has been considered a dirty facility,
19 like the production facilities are. We have
20 people that we are talking about here who are
21 claimants who are people who put together
22 production programs. These are folks that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acutely aware of when they should and should
2 not be monitored. It's hard to imagine that
3 they would have had any period of time that
4 they should have been monitored when they
5 weren't if for no other reason than the fact
6 that, for the most part, I don't know these
7 individuals. So I can't make that blanket
8 statement, but I think you can make that
9 blanket statement with regard to most of this
10 particular laboratory's folks. They would
11 certainly be aware of that.

12 If there were incidents where they
13 should have been monitored -- and let's face
14 it. A hundred millirems a year? Gosh, guys.

15 That's a really low cut-off for the decision
16 to have been made whether or not they were
17 going to be monitored. But if they weren't
18 being monitored, then it seems highly probable
19 to me that neither the individual nor their
20 project management felt that it was reasonable
21 to do so.

22 So if you have decent records about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these folks and especially in cases where you
2 have a whole body count and you know,
3 therefore, that the concern for monitoring
4 existed -- otherwise, there wouldn't be a
5 whole body count -- it just does not seem
6 rational that one would jump to the conclusion
7 that there was a missing record or that they
8 should have been monitored and weren't in this
9 particular facility. It seems to me that
10 there is adequate validity for the position
11 that 1993 will give you the people that we
12 need to be concerned about with regard to
13 Special Exposure Cohort.

14 CHAIR BEACH: This is Josie
15 following up on that. I was looking -- and I
16 am going to refer to Ron's bar graph because
17 that is a picture that I can look at. And I
18 look at the record, and I am only looking at
19 the figures 1B, 2B, and it looks to me like we
20 have a percentage of workers that were
21 bioassayed of the 5. And we are looking at
22 numbers about 55 percent up to maybe 65

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent overall, 55 to 75 percent. What
2 percentage of records are adequate to do dose
3 reconstruction?

4 MR. CALHOUN: I think that it
5 depends on what the exposure potential was.
6 And in these five cases, it turns out that the
7 people that were monitored -- if you look deep
8 into their monitoring history and what they
9 did, again, I see that in my mind, there is
10 really no reason to believe that records are
11 missing post-1993.

12 So in my opinion, I believe that we
13 have all of the records that were there. And
14 we certainly can tap the dose and do a dose
15 reconstruction for these individuals, you
16 know, in the event that there was a -- let's
17 just say that there was a month or a year or
18 whatever of tritium data or there was a month
19 or a year where there was no tritium data, but
20 there was data before and after, we would
21 assign missed dose between those two points
22 and the same thing with whole body counts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You know, we can get the whole body counts
2 from year to year.

3 And when you look at the graph,
4 that is good, but you have actually got to get
5 down to the individual data points that are
6 believed to be the problem. You know, there
7 is a case in particular where it's very clear
8 that the individual didn't start working at
9 the HFBR until 1995.

10 But it hurts that graph because
11 there is no count for 1994, I believe. But,
12 you know, you have got something called an
13 indoctrination sheet that this individual
14 signed. And I talked to the people at
15 Brookhaven. And they say you don't sign that
16 indoctrination -- that indoctrination sheet is
17 basically training. And that allows you to go
18 into the facility unescorted. Months prior to
19 that, I have escorted access logs for the
20 individual.

21 And this is all stuff that was
22 provided by Brookhaven in their last response

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to us. You know, the same situation -- I just
2 today looked at the latest -- the first whole
3 body count, which was done in 1995. And it
4 actually had the notation that said, "This is
5 a new HP transfer to the HFBR." So that is
6 another piece of information that the lack of
7 data in 1994 is really -- it's not that the
8 data is not there. It just was never taken
9 because he wasn't working in that facility.
10 And that is a different example than the first
11 one I gave.

12 So when I look at all of this
13 stuff, I believe that the dose reconstruction
14 is very feasible for these five individuals.
15 And, like Ron said, this is a small sample,
16 but in my mind, it's a good sample in that the
17 dosimetry information that is there seems
18 complete.

19 MR. FITZGERALD: Josie, this is
20 Joe. You know, one thing that I think we all
21 agree is that before December 31st, '93, the
22 inconsistency in availability of internal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dosimetry records -- that is how it is phrased
2 in Grady's paper -- was due to an incomplete
3 and inadequate record system at Brookhaven.

4 And that was, you know, certainly
5 discussed quite a bit. And I think, you know,
6 everybody agrees that the inconsistency, '93
7 and before, is due to those inadequacies in
8 the record system. And, of course, Brookhaven
9 came around and programmatically made
10 improvements and put them in place in '93.
11 That's why we are talking about '93.

12 Now, the Work Group asked SC&A to
13 look at beyond the programmatic basis for '93,
14 which was this improvement in the records
15 program. Were those improvements manifest in
16 a better consistency in the records beyond
17 '93? This is not an uncommon question. We
18 have dealt with the same question at other
19 laboratories, like Los Alamos, where the
20 question is, you know, even though it is a
21 programmatic improvement, can you see that
22 improvement manifest in better dosimetry and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 better records?

2 So in this case, we did what we
3 could. And it is difficult at this lab
4 because of the sources, meaning that you don't
5 have a lot of workers -- and Wanda just
6 pointed this out -- that are getting exposed
7 to internal emitters to a degree where you
8 have had a large sample size to deal with.

9 So we took the sample that we could
10 and looked at that very question, which was
11 the consistency of completeness of records,
12 the same issue.

13 And what I'm hearing from Grady --
14 and, you know, I understand what he is saying
15 -- is that, whereas, the inconsistency of
16 December '93 and before was clearly due to the
17 inadequacies of the records program at
18 Brookhaven, the inconsistencies, which seemed
19 to continue after '93, at least through '96,
20 can be explained by details that are now
21 available that point to things such as
22 exposure potential likely being lacking in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 maybe orientation of new workers and various
2 other explanations.

3 But, again, you are dealing with a
4 -- at least on this sample size -- and it's
5 small, but you're dealing with inconsistencies
6 which are comparable to what we would have
7 seen before '93. The difference is that we're
8 hearing explanations that are more traditional
9 explanations as to why you have these gaps.

10 I guess I am not sure where you go
11 from this. We see comparable inconsistencies.

12 There may be explanations. There is no way
13 to confirm that. I think it's one of these
14 where if we construe that the exposure
15 potential wasn't there and the individual
16 wasn't monitored and, therefore, that's why he
17 doesn't show up with records. You know, that
18 is certainly one tack one can take, and it has
19 been taken at other sites, but it doesn't put
20 the issue necessarily to bed. And I don't
21 know if a larger sample size is even feasible
22 for Brookhaven because of the number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workers that would, in fact, be given routine
2 bioassays for internal emitters.

3 But this sample size suggests that
4 the inconsistencies continue. There may be
5 some explanations, but you still see those
6 inconsistencies. So I think that is all we
7 can say at this point from that test that
8 certainly the Work Group had us do.

9 MEMBER ROESSLER: Joe and Josie, I
10 guess, everybody, this is Gen. To make a
11 conclusion on these graphs makes me very
12 uncomfortable. It just seems because of some
13 of the reasons that Grady has brought up, what
14 might have happened, whether a person maybe
15 didn't have exposure potential and that's the
16 reason they weren't included in a particular
17 evaluation, it seems that that is sort of --
18 these graphs can really distort the
19 conclusion.

20 And I think some of the things
21 Wanda said were very persuasive about the
22 situation there at Brookhaven. So I am not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 comfortable in extending the period based on
2 these graphs.

3 To me, the real question I think is
4 can you bound the dose. And I don't know.
5 Grady kind of alluded to that. Maybe he could
6 say a little more based on that.

7 MR. CALHOUN: Yes. I 100 percent
8 believe we could and without coworker studies
9 even. Based on the data we have on these five
10 individuals and their work histories, the data
11 is complete to do a dose reconstruction. We
12 have multiple whole body counts on people. We
13 have tritium bioassay on people. We know
14 where the individuals worked and when they
15 worked.

16 One of the five individuals was
17 somebody who was very, very knowledgeable,
18 said they were never exposed to tritium. But
19 there are multiple whole body and urinalysis
20 reports because there were some incidents that
21 they were involved in. So there's a lot of
22 information here now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And one thing that I agree with Joe
2 on here is that the main driver for the second
3 SEC, the 83.14, is that we started -- like I
4 said earlier, we were finding data that we had
5 captured that they didn't. And they have
6 really done, I won't say a great job, but I'll
7 say a much better job in giving us records.
8 And it is way more information than we
9 typically would have gotten.

10 So, you know, who knows if that
11 would have even changed the date to be even
12 earlier than '93 if we would have had this
13 kind of information back when we made that
14 83.14 determination? But they didn't start
15 doing that until 2011, this new records
16 retrieval and storage and response process
17 that they are using.

18 The main question is yes, I believe
19 that, even without coworker studies, these
20 five individuals, it is certainly feasible to
21 do their dose reconstructions. And we have
22 completed dose reconstructions on all of them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Some of them were comped based on limited
2 data, some of them non-comped. And then there
3 were people that were comped through the SEC.

4 CHAIR BEACH: Grady, this is Josie.

5 I've got a question. Did SC&A have access to
6 all of that data that you are just referring
7 to that you just recently got when they pulled
8 the five samples?

9 MR. CALHOUN: Yes.

10 CHAIR BEACH: Okay. So you said
11 yes. So Ron was able to use all of that data
12 when he came up with his percentages and --

13 MR. CALHOUN: Right.

14 CHAIR BEACH: -- with the five
15 workers? Okay.

16 MR. CALHOUN: As a matter of fact,
17 I say, you know, neither of us -- after Ted
18 kind of set this up, you know, we both kind of
19 agreed that there was no additional data that
20 either of us were going to pull out to use,
21 but we both had access to the data. And, like
22 I said, I know Ron felt my pain in going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through these hundreds and hundreds, if not
2 thousands, of pages of new documents that we
3 had for these five people.

4 CHAIR BEACH: This is Josie. And I
5 appreciate the work and diligence it took to
6 do that. I'm sure it wasn't easy. Is that
7 you, Henry, talking or --

8 MR. FITZGERALD: No, no. Josie --

9 CHAIR BEACH: Okay.

10 MR. FITZGERALD: -- I was just
11 going to offer, given what Gen just said,
12 perhaps the way we could go forward on this
13 is, you know, this limited sample size --
14 given the data we have, there isn't a whole
15 lot more one can do with the data but just to
16 provide some assurance to the Work Group,
17 perhaps, you know, Grady could provide a dose
18 reconstruction. Almost like, you know, we
19 have three or four workers, there could be a
20 bounding dose reconstruction approach for
21 those workers given these gaps that would I
22 think be more specific in terms of answering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some of these issues about how one resolves
2 what may be lingering problems, not so much
3 problems but gaps in the records for these
4 workers.

5 CHAIR BEACH: That sounds
6 reasonable.

7 MR. CALHOUN: What you are asking
8 for is with these five individuals, I come up
9 with what would be a somewhat generic dose
10 reconstruction unless you want the actual.
11 But what we would have to do is -- you know,
12 there is at least one of them that was comped
13 based on non-tritium data, you know.

14 MR. FITZGERALD: My guess is you
15 are probably going to -- you know, during this
16 transition period where, you know, it's not --
17 it didn't turn on a dime. I think that's what
18 we were saying, that you would have three or
19 four years where it got better, but you are
20 probably going to see gaps and maybe not gaps
21 as large as they were before. But what
22 specifically would you do? Given what seems

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to be a wealth of information and data that
2 has come your way from Brookhaven with their
3 new system, does that now give you sufficient
4 information? It sounds like it does, but does
5 that give you sufficient information to do the
6 necessary bridging of those gaps?

7 MR. CALHOUN: Yes. And, again, I
8 would like to keep it on these five cases.
9 One thing that we can't assume is that these
10 gaps are errors. There is really no reason to
11 believe that no tritium monitoring for '94 and
12 '95 for a guy that had 6 years of tritium
13 monitoring with all zeros is a gap.

14 MEMBER CLAWSON: Grady, this is
15 Brad. That to me is you're speculating that.

16 And when we start to speculate that, we can
17 speculate into quite a bit of it. We have to
18 deal with the data that we do have.

19 You know, you can take the other
20 side of it and say there is no reason that
21 they shouldn't have had monitoring. They had
22 it all the way through these years. Why

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 shouldn't they still have monitoring? And
2 that is part of my issue that I get into this
3 because the 100 millirem per year debate is
4 still going on today. And we see it in the
5 industry all the time. One time we're getting
6 monitored and then the next time we're not
7 because somebody different has made a
8 different determination on it.

9 So I guess my thing is I need to be
10 able to look at what exactly data that we do
11 have out there. And as to Wanda's comment,
12 one of the things I want to bring up is this
13 has been one of the worst sites that we have
14 ever had for data. And this to me I was
15 thinking with all the individuals we have
16 there, all of the people that were there, I
17 thought this was going to be far, far
18 superior. And here this ends up to be one of
19 the worst ones.

20 In my eyes, we have got to deal
21 with the facts that we have before us. We can
22 speculate in many cases to what we want. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to say because these people were all of
2 certain knowledge or anything else like that
3 that they would have known, I really don't
4 think we can do that either because it goes to
5 show if it was, then their data would have
6 been a lot better to it.

7 Myself, I thought these guys should
8 have been the pearl among all of the processes
9 out here that would have been the best. I
10 really truly believed it was going to be until
11 we got to this point.

12 MR. CALHOUN: You're right. You're
13 right that this is one of the worst sites that
14 I know of so far. You know, there will be
15 other national labs that are similar. But the
16 fact of the matter is that the 100 millirem
17 per year is, in fact, a requirement. And that
18 is the requirement they have to live to.

19 But I believe that, you know, the
20 data that we have -- and, like I said, you
21 have got to look at the individual cases. You
22 can't just look at the graph. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appreciate the graph, and it is a good
2 illustration, but you have got to look at the
3 individual cases and look at what they did and
4 make a determination because this wasn't a
5 place where, you know, one person stayed in
6 one spot the whole time and should have been
7 monitored and was monitored.

8 So, you know, like I said, I would
9 be glad to do what Joe suggested and try to
10 throw some hypotheticals out there as far as
11 these five cases because, you know, we didn't
12 continue dose reconstruction. We didn't redo
13 dose reconstruction on people that were comped
14 through the SEC. And we didn't go any further
15 with trying to calculate a tritium dose with
16 individuals who were comped by, you know,
17 using other radionuclides that they were
18 exposed to that were determined through either
19 whole body counts or other types of
20 urinalysis.

21 MR. FITZGERALD: I guess, Grady,
22 just a little bit more background, the reason

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm looking at that approach is because before
2 '93, the records lacked credibility to the
3 point where if you had a gap, you would not
4 even attempt perhaps to explain it away
5 because you wouldn't be sure if that gap was
6 just a reflection of the fact that those
7 records went missing.

8 So there was a point in time, not
9 much --

10 MR. CALHOUN: Right.

11 MR. FITZGERALD: -- before what
12 we're talking about here where you couldn't
13 even draw that judgment. Now I think you're
14 saying that based on the record that you're
15 seeing in that time period after '93, when you
16 see a gap, you feel the records database is
17 much more credible. Therefore, you can
18 interpret that gap as maybe being real from
19 the standpoint of either not being monitoring
20 or whatever else.

21 My concern that I share with Brad
22 is that you get -- unless you have a basis for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 determining that that gap was, in fact, a real
2 gap, it still might after '93 be a lack of a
3 record. I mean, there is no way to nail it
4 down unless you do have enough information.
5 And that is what I am saying. If there is, in
6 fact, enough information that is coming over
7 from Brookhaven that wasn't coming over
8 before, that you can substantiate these gaps
9 as being not a gap due to a record being
10 missed but actually a real gap because of lack
11 of monitoring being done, then that is
12 different.

13 But I don't think I have seen that
14 really provided. I think all we can go by
15 objectively, which is what Ron did, was to
16 highlight the gaps and, you know, without
17 trying to get into a broad analysis of
18 interpreting what that gap means.

19 So what we have raised to the Work
20 Group is that, you know, certainly the gaps
21 persist. And what you are saying is that
22 there is probably a much better explanation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because you have more information than you had
2 before. And that is kind of what may come to
3 the fore if you are able to provide or use
4 that data to provide the interpretations you
5 are talking about.

6 Now, if there is simply going to be
7 -- I won't use the word "speculation" but, you
8 know, you were saying hypotheticals. If they
9 happen to be your best guesstimate, rather
10 than something based on the information, then
11 I'm not sure that helps us too much because
12 that is not based on any information from
13 Brookhaven but more health physics judgment as
14 to why somebody didn't have a monitoring
15 record because they weren't monitored, if you
16 follow my logic on that one. So it does have
17 to be grounded I think on the information or
18 the data that we have gotten from Brookhaven
19 and not just simply, you know, a speculation
20 of sorts, professional judgment.

21 MEMBER ANDERSON: This is Andy.
22 That kind of is my read on it. I am really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking for all we at this point really know
2 is that things changed in '93 with the
3 program. What we don't know is the gaps after
4 that, you know, how rapidly were the changes
5 implemented so those gaps, in fact, are truly
6 not a need for monitoring. So, you know, a
7 three-year phase-in I'm much more comfortable
8 and accepting things after that it's now fully
9 implemented, actually been in place a while
10 set of criteria, but we really have to look at
11 the data that's -- I really would like to see
12 this -- I hate to say it -- having to do more
13 work, but let's look at how you do it and what
14 the data actually is.

15 CHAIR BEACH: And this is Josie. I
16 circled and wrote down "hypothetical" also
17 because I think, Grady, we need to know
18 exactly if we do ask you to go back and do
19 more work exactly what we're going to get and
20 if it's going to be useful because I see that
21 we have kind of two paths. One, you know, I
22 believe in a case such as this at BNL, we need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to give the benefit of the judgment to the
2 claimants and set the cut-off date at the end
3 of '96, or if you can do this and give us a
4 chance to look at what you are actually doing,
5 then I would leave that with the Work Group
6 and what your judgment would be.

7 MR. CALHOUN: Okay. Let me talk a
8 little bit about this, then. And as we all
9 know that the SEC needs to be established
10 because of infeasibility of dose
11 reconstruction. Now, I just want to get into
12 this other case just a little bit and just
13 give you the background and see, you know,
14 what could be a possible outcome here.

15 You know, we have got an individual
16 who had some positive doses prior to '85, but
17 between '85 and '95, and he retired in '95, he
18 had 10 millirem external total. He had
19 somewhere between 12 and 15 tritium samples
20 taken during that time up to '93. And they
21 were all zero. And he retires in '95. In '94
22 and '95, there are no tritium samples.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, if any of us have ever worked
2 in an HP program, you determine your
3 monitoring. And these individuals weren't
4 done -- or were done -- there was individual
5 -- I will say hazard type evaluations
6 provided. And if you've worked in an HP
7 program, you know that your monitoring program
8 is based on risk. And if an individual had 15
9 samples, tritium samples, all were negative,
10 almost all of his external dose was negative,
11 I am not going to be able to find a letter
12 that says this individual doesn't need to be
13 monitored in 1994 and 1995 for tritium. Okay.
14 He had whole body counts in '94 and '95. What
15 does that mean? That could mean he was
16 working at BLIP. That could mean he was
17 working in isotope separation and he had more
18 of a fission product thing to deal with than a
19 tritium analysis.

20 I am just wondering what to do in
21 that thing that is going to be satisfactory in
22 that case to show other than the data that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 indicates no dose that he was monitored
2 because right now what we're doing, we're
3 assuming that he should have been monitored.
4 We're assuming that he was monitored. And
5 we're assuming that the records don't exist.
6 And I am not sure that that is a great
7 position. And that is what SC&A is assuming,
8 not NIOSH.

9 MR. FITZGERALD: Yes, but I would
10 also point out that you're assuming in the
11 other direction that because the record isn't
12 there that there is an exposure-based reason
13 why he wasn't monitored. But, again, I think
14 we're just making a judgment, but we don't
15 have anything to corroborate it.

16 MR. CALHOUN: I believe they were
17 DOELAP-accredited at that point and had some
18 reasonable programs in place. So it's one of
19 those deals -- and you really can't. It's
20 hard to argue a negative as to why a piece of
21 dosimetry that late in his career with all of
22 the supporting stuff in between is missing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, if he had positive urinalysis up to that
2 point or if he had, you know, a one-year break
3 with positives before and negatives after, I
4 would -- my eyebrows would go up a little bit
5 more and say, "Hmmm. Maybe something here is
6 missing."

7 But because of the way this one, in
8 particular, came about, it is not a stretch.
9 It is a very reasonable in my thought that
10 those results are inconsequential.

11 MEMBER ROESSLER: Josie, this is
12 Gen. I want to comment. I feel the same way
13 Brad does, which might be a surprise to Brad,
14 but I think we have to deal with the data that
15 we have. And we could go on with this
16 discussion for a long time, but if I had to
17 vote at this point, I wouldn't know how to
18 vote because I really don't have a good
19 feeling for how a dose reconstruction could be
20 done. How can the dose be bounded? And I
21 think what I need to see is something that has
22 been done by NIOSH before is to present maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a hypothetical but sort of a real case that
2 says, "Here is how we are going to go about
3 it."

4 CHAIR BEACH: Okay.

5 MEMBER ROESSLER: Rather than just
6 hearing that, "Yes, we can do it," I would
7 like to see how this could be done. I'd like
8 to see an example or two.

9 CHAIR BEACH: I believe that is
10 exactly what Joe asked for. And I feel --

11 MR. CALHOUN: I will do that. And,
12 like I said, for the cases that -- you know,
13 one of them I said was comped with something
14 other than tritium, but tritium is the issue.
15 I'll forget that I had that other dose. And
16 I'll show how we would have done tritium for
17 that case.

18 CHAIR BEACH: Okay. So my question
19 -- this is Josie again. How many cases can
20 you provide for us? One is probably not
21 enough.

22 MR. CALHOUN: The only reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing to do is these five cases.

2 CHAIR BEACH: Okay. Does the Work
3 Group agree with that?

4 MEMBER CLAWSON: Josie, this is
5 Brad. Yes, I do. I guess there is another
6 part. And I agree with Gen right now, and I
7 am sure that surprises her. But part of the
8 thing is I also want to be able to understand
9 how -- with this information, if we voted that
10 these years weren't there, how are we going to
11 determine which people out there need -- I
12 guess my question is, how are you going to
13 implement this? How would you decide who
14 needed to be monitored or not? I'm still not
15 at a great feeling of how you're going to
16 apply the dose reconstruction for this, how
17 you're going to single out the ones that
18 should be redone or that don't need to be
19 done.

20 MEMBER ROESSLER: Well, I think
21 that's their challenge. They have to show us.

22 MEMBER CLAWSON: And this is what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm trying to talk about, Gen, is I want to
2 see these cases, but I also want to understand
3 how they are going to implement it to the body
4 of the whole as not just these five cases, but
5 I wanted to find out how they're going to do
6 it to the general public of Brookhaven.

7 MEMBER MUNN: Well, they had such a
8 low trigger for their assessment program
9 anyway.

10 MEMBER ANDERSON: So they should
11 have been monitored.

12 MEMBER MUNN: No. If you are quite
13 assured that your program is not going to
14 produce more than 100 mR of exposure to
15 anybody except 2 or 3 people and those 2 or 3
16 people aren't showing that trigger either,
17 then, you know, we're talking about doses that
18 are really down in the weeds here.

19 MEMBER CLAWSON: Okay. And I've
20 got to go to my standpoint on this. I work
21 with sources that are over a million R. Guess
22 what. They determined that under 100 -- I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 don't have the potential for under 100
2 millirem per year.

3 MEMBER MUNN: Yes.

4 MEMBER CLAWSON: So when we start
5 saying that, "Well, because they didn't
6 implement this," this all comes down to
7 somebody's interpretation. And over the last
8 seven years of my life, it has been one way
9 and then back the other way, back the other --
10 and now we're back again to the "No, they
11 don't need to be monitored." Even though we
12 deal with very high sources and possibilities
13 of it, they have deemed, somebody has deemed,
14 that it isn't. And we debate this
15 continuously.

16 That is a judgment call that
17 somebody makes. That goes back and forth.
18 And for us to just say, "Well, yes. Because
19 they weren't monitored, they didn't even get
20 around 100 millirem," I have personal feelings
21 that, no, that isn't the best defense. I'm
22 not saying that I -- I understand what you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 saying, but I also have to fall back on what I
2 have seen throughout the industry.

3 And a lot of times, it comes down
4 to the bottom line is money. It costs money
5 to monitor people. And if they can get out of
6 it, they will. And we -- I've seen it,
7 especially the last ten years of my life, back
8 and forth and back and forth, because it all
9 comes down to money.

10 And I don't think -- and this is
11 just my personal opinion. We can't hang our
12 hat on that 100 millirem. I really don't
13 think that we can.

14 MEMBER ANDERSON: I am going to
15 have to run.

16 CHAIR BEACH: Thanks, Henry.

17 MEMBER ANDERSON: My vote is let's
18 look at some details in the case. I'm not
19 sure I am going to be convinced that we can
20 make that cut at '93, but let's give it
21 another run.

22 CHAIR BEACH: Okay. Henry, thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you. We understand.

2 MEMBER ANDERSON: Sure.

3 CHAIR BEACH: So at this point,
4 then, does the Work Group agree that NIOSH
5 should go back and look at those five cases
6 and give us how they bounded those cases? Is
7 that what we're looking for?

8 MEMBER CLAWSON: This is Brad. At
9 this time, yes, I think we have got to look at
10 all of the information from all sides of it.
11 And I think that this would be the best to be
12 able to give us a better feeling of how this
13 is going to be implemented and what they need
14 to be able to do.

15 CHAIR BEACH: And, of course,
16 NIOSH, can you give us a timeline on that? We
17 are on the schedule for March. And I know
18 that can be changed if need be.

19 MR. CALHOUN: I don't know. I
20 think it will certainly take, I would say, a
21 few weeks to get all of this together, but I'm
22 not sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIR BEACH: So a few weeks mean
2 we won't make a March time frame?

3 MR. CALHOUN: Well, what date in
4 March is it? I forget.

5 CHAIR BEACH: The 12th.

6 MR. CALHOUN: I think that is going
7 to be tough. You know, I mean, after I get
8 off here, I will talk to my bosses and see
9 what they want me to do.

10 CHAIR BEACH: Okay.

11 MR. CALHOUN: We'll see what the
12 workload is, but let me just hold off and let
13 you know in the next couple of days. Okay?

14 CHAIR BEACH: I won't speak for
15 Ted, but I will ask Ted the question, if you
16 can work with Ted, I would assume.

17 MR. CALHOUN: Sure.

18 CHAIR BEACH: And, Ted, if you are
19 speaking, you are on mute.

20 MR. KATZ: No, I am not speaking.
21 I was just --

22 CHAIR BEACH: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. KATZ: I am not sure what the
2 question was to me, Josie.

3 CHAIR BEACH: I was just asking if
4 Grady could get with you on the timeline.

5 MR. KATZ: Whenever. He can send
6 us, the whole Work Group, a timeline.

7 CHAIR BEACH: Okay.

8 MR. KATZ: Absolutely.

9 CHAIR BEACH: And I only suggested
10 that because it is your schedule for March
11 that is going to change.

12 MR. KATZ: Right. And we can deal
13 with a change, even at the last moment if we
14 need to.

15 CHAIR BEACH: Okay. Do we need to
16 take an official vote to go this direction? I
17 mean, we heard from Henry, Brad. And I agree
18 that these five cases should be done. Gen, I
19 believe we heard --

20 MEMBER ROESSLER: Well, or any
21 other supporting information that they can
22 give.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR BEACH: Okay.

2 MEMBER ROESSLER: And I think Joe
3 has some good thoughts on how this could be
4 achieved. I think if I were Grady I would
5 talk with Joe a little bit more to kind of get
6 an idea of how to approach this.

7 CHAIR BEACH: That sounds like
8 sound advice. And, Wanda?

9 MR. FITZGERALD: That's fine. What
10 we can do is maybe have that discussion and
11 bring something back to the Work Group by
12 email that would be the proposal as far as
13 whatever analysis is called for.

14 CHAIR BEACH: Does this fall to the
15 level of a technical call or you can just work
16 that out?

17 MR. FITZGERALD: I would assume we
18 can just work it out.

19 CHAIR BEACH: Okay. And, Wanda,
20 are you in agreement?

21 MEMBER MUNN: I don't think we are
22 going to get any more information than we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 already have, actually. But that's just a
2 personal feeling. Whatever the Work Group
3 wants to do is clearly going to happen and
4 that if you feel there is additional
5 information that is going to be beneficial, I
6 just can't imagine what it will be.

7 CHAIR BEACH: Okay. Thank you.

8 Are there any other comments or
9 questions, or are we ready to adjourn?

10 DR. BUCHANAN: This is Ron. I just
11 think that we should lay out, you know, what
12 would be achieved so that we just don't have
13 more White Papers going back and forth on the
14 dose reconstruction and how would we present
15 it and what assumptions can be made so that it
16 would, you know, prove something one way or
17 the other, rather than just exchanging White
18 Papers to come up with the same brick wall
19 next time.

20 CHAIR BEACH: Okay. That sounds
21 like good information. So who would start
22 that? Would SC&A? Would you --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. FITZGERALD: I would propose
2 that we have a conference call, technical
3 conference call. I think Ron, myself, Grady.
4 You know, if any Board Member wants to listen
5 in, that's fine, too. But, you know, within
6 the next few business days, just do that.

7 CHAIR BEACH: Okay.

8 MR. FITZGERALD: And come up with
9 the best approach that one can, understanding
10 -- and, you know, I have some reservations. I
11 mean, I think we have what we have in the way
12 of data, but I think any way we can help the
13 Work Group sort of understand some of what
14 Grady has said but understand better what the
15 data provides, what this new information that
16 we seem to have more of from Brookhaven, what
17 that can do to facilitate dose reconstruction
18 is about the best we can do at this point.

19 CHAIR BEACH: Okay. I think that
20 sounds like a reasonable approach. Thank you,
21 Ron, for that advice. Ted, can you -- who
22 would set up the technical call. I would like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to sit in on it.

2 MR. KATZ: No. That's fine. And I
3 can set it up as soon as I know. Let's give
4 Grady a chance to reconnoiter and figure out
5 what -- he was going to get you some timeline
6 information or whatever and I'm sure Grady as
7 well as Joe and Ron will be thinking about
8 what they want. So just let me know when
9 you're ready to meet, Joe, Grady, and I'll set
10 it up. And we'll do that. And I'll send a
11 notice to the whole Work Group. And anybody
12 who wants to listen in can do that. And we
13 can get it done early next week I guess is
14 what we're talking about.

15 MR. FITZGERALD: Yes, maybe like
16 Tuesday, sometime by early next week.

17 MR. CALHOUN: I am, unfortunately,
18 traveling to Los Alamos. I'll be there
19 Tuesday, Wednesday, Thursday. Now I'll look
20 at my flight schedule and see when I can call
21 in if we decide Tuesday is the date. But it
22 is going to be at least a little bit less open

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than my typical days here are.

2 CHAIR BEACH: Okay. And we can --

3 MR. KATZ: Let me ask this question
4 just before we -- how much time do you guys
5 need to think about this because we could do
6 it tomorrow if you wanted to?

7 MR. FITZGERALD: I would like to
8 have at least a day.

9 MR. CALHOUN: Yes, yes.

10 MR. KATZ: Okay. That's fine. I
11 mean, Monday is Presidents Day. Grady, you're
12 traveling starting on Tuesday?

13 MR. CALHOUN: Yes. I'm traveling
14 Tuesday. I've got a meeting there Wednesday.
15 And I'm coming home Thursday.

16 MR. KATZ: So is there a time of
17 day on Tuesday that would work for you?

18 MR. CALHOUN: Well, I can look at
19 my flight here. Let's see. Hold on.

20 MR. KATZ: Sure.

21 MEMBER CLAWSON: Hey, Josie, this
22 is Brad. I've got a nuclear fuel element that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has my name on it, and they're waiting for me
2 to go. So I'm going to sign off, but if we
3 could just be apprised, the Work Group, of
4 what is kind of pushing forward, I would
5 greatly appreciate it.

6 CHAIR BEACH: Of course, Brad.
7 Thank you.

8 MEMBER CLAWSON: Bye bye.

9 MR. KATZ: Bye, Brad.

10 MR. CALHOUN: Well, we either have
11 to do it probably before 8:00 a.m. on Tuesday,
12 which is unlikely --

13 MR. KATZ: Yes.

14 MR. CALHOUN: -- or my flight
15 arrives at 2:00. And then I've got to drive
16 to Santa Fe. So that's at least an hour. So
17 3:00. So that would probably push me to I
18 guess 4:00-ish on Tuesday.

19 MR. KATZ: Okay. But 4:00-ish
20 there, that's 6:00 p.m. Eastern time?

21 MR. CALHOUN: Yes. There you go.
22 Sorry. I didn't think of that. Okay. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then the other option is I have to find the
2 times of my meetings. I've got my meeting --
3 that's not -- the meeting date on Wednesday.

4 MR. KATZ: Yes. I think Thursday
5 is a Work Group meeting. So it would have to
6 be Wednesday. And Josie is traveling part of
7 the day on Wednesday.

8 CHAIR BEACH: I don't necessarily
9 have to sit in.

10 MR. KATZ: Okay.

11 CHAIR BEACH: I will try to make
12 whatever is most convenient for Grady and Joe.

13 MR. KATZ: Okay. Well, Grady, I
14 don't mean to put you on the spot while you
15 are on the phone, but if you can shoot for a
16 time on Wednesday that works that is
17 reasonable --

18 MR. CALHOUN: Yes. My meetings are
19 9:30 a.m. and 4:30 p.m. on Wednesday, it looks
20 like.

21 MR. KATZ: Is there a big gap in
22 between there?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. CALHOUN: So I guess maybe --
2 it depends on how far I am from -- I don't
3 know. I'm going to have to check out and see
4 how far I am from the meeting center, too, see
5 how much driving I've got to do.

6 MR. KATZ: So you think there is
7 somewhere in the middle of the day that might
8 work?

9 MR. CALHOUN: I think that would
10 probably be the best, it looks like. I can't
11 imagine them lasting more than a couple of
12 hours, you know.

13 MR. KATZ: Okay.

14 MR. CALHOUN: So it would probably
15 be noonish, whatever time that is. So that
16 would be 2:00-ish, I guess, your time.

17 MR. KATZ: And that would work. We
18 have a Rocky Flats Work Group meeting at
19 10:00, but that will be done by then. Folks
20 pencil that in. Let's just pencil in 2:00
21 o'clock on Wednesday. Grady can let us know
22 if that won't work. But let's help keep an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eye to that, everybody, on your calendars.

2 CHAIR BEACH: All right. Then you
3 might look at Friday also. We do have an SEC
4 call at 8:00 o'clock but maybe in and around
5 that.

6 MR. KATZ: No. We have it at 11:00
7 o'clock, Josie.

8 CHAIR BEACH: Oh, 8:00 o'clock my
9 time. Sorry.

10 MR. KATZ: Yes. Sorry.

11 CHAIR BEACH: I write in my time so
12 I don't lose it.

13 MR. KATZ: Right. Yes. We need to
14 get Grady if he's going to have a discussion
15 he needs to have it early enough that he can
16 get things done.

17 CHAIR BEACH: Yes.

18 MR. KATZ: But Friday, right, would
19 be the only other possibility that week.
20 Okay. So, anyway, we will wait until we hear
21 from Grady about time. And in the meanwhile,
22 Ron and Joe and Grady can think about what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they might do.

2 CHAIR BEACH: That sounds great.
3 So, if there is nothing else, I can say we can
4 adjourn this meeting. And thank you,
5 everyone, for your attendance and comments.

6 (Whereupon, the foregoing matter
7 was concluded at 4:15 p.m.)

8

9

10

11

12

13

14

15

16

17

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701