

UNITED STATES DEPARTMENT OF LABOR

+ + + + +

ADVISORY BOARD ON TOXIC SUBSTANCES  
AND WORKER HEALTH

+ + + + +

WORKING GROUP ON PRESUMPTIONS

+ + + + +

MEETING

+ + + + +

WEDNESDAY,  
JUNE 21, 2017

+ + + + +

The Advisory Board met telephonically  
at 1:00 p.m. Eastern Time, Steven Markowitz, Chair,  
presiding.

MEMBERS

SCIENTIFIC COMMUNITY:

KENNETH Z. SILVER  
LESLIE I. BODEN

MEDICAL COMMUNITY:

STEVEN MARKOWITZ, Chair  
VICTORIA A. CASSANO

CLAIMANT COMMUNITY:

KIRK D. DOMINA  
GARRY M. WHITLEY  
FAYE VLIIEGER

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

BOARD MEMBERS ALSO PRESENT:

KIRK DOMINA

DESIGNATED FEDERAL OFFICIAL:

CARRIE RHOADS

P-R-O-C-E-E-D-I-N-G-S

1:05 p.m.

1  
2  
3 MS. RHOADS: Hi everybody. My name's  
4 Carrie Rhoads. I'd like to welcome you to today's  
5 teleconference meeting of the Department of Labor's  
6 Advisory Board on Toxic Substances and Worker  
7 Health, the Presumptions Working Group.

8 I am the board's designated federal  
9 officer or DFO for today's meeting.

10 First of all we appreciate the time and  
11 the work of our board members in preparing for this  
12 meeting and for their time spent working after.

13 I'll introduce board members and take  
14 a quick roll call. Dr. Steven Markowitz is the  
15 chair of this group and chair of the advisory board.

16 CHAIR MARKOWITZ: Here.

17 MS. RHOADS: And the members are Dr.  
18 Victoria Cassano.

19 MEMBER CASSANO: Here.

20 MS. RHOADS: Ms. Faye Vlieger.

21 MEMBER VLIEGER: Present.

22 MS. RHOADS: Dr. Leslie Boden. Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Boden, are you on the line?

2 MEMBER BODEN: Here.

3 MS. RHOADS: Thanks. Mr. Garry  
4 Whitley.

5 MEMBER WHITLEY: Here.

6 MS. RHOADS: Dr. Ken Silver.

7 MEMBER SILVER: Here.

8 MS. RHOADS: Dr. John Dement and Dr.  
9 Laura Welch are both in this group but could not  
10 make the call today. And Mr. Kirk Domina is also  
11 on the line with us.

12 In the room with me is Melissa Schroeder  
13 from SIDEM, our contractor.

14 Today's meeting is scheduled from 1  
15 o'clock to 3:30 Eastern time. I don't know if we'll  
16 take a break. If the discussion allows and Dr.  
17 Markowitz wants to that is fine.

18 Copies of all meeting materials and any  
19 written public comments are or will be available  
20 on the board's website under the heading Meetings  
21 and a listing there for this meeting.

22 The documents will also be up on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 WebEx screen so everyone can follow along with the  
2 discussion.

3 The board's website can be found at  
4 [dol.gov/OWCP/energy/regs/compliance/advisoryboard.htm](http://dol.gov/OWCP/energy/regs/compliance/advisoryboard.htm).  
5

6 When you visit the website after  
7 clicking on today's meeting you'll see a page  
8 dedicated entirely to the meeting.

9 The webpage contains publicly  
10 available material submitted to us in advance and  
11 we'll publish any materials that are provided to  
12 this subcommittee.

13 There you should also find today's  
14 PowerPoint presentation as well as instructions  
15 for participating remotely.

16 If you are participating remotely and  
17 you're having a problem please email us at  
18 [energyadvisoryboard@dol.gov](mailto:energyadvisoryboard@dol.gov).

19 If you're joining by WebEx please note  
20 that the session is for viewing only and will not  
21 be interactive.

22 The phones will also be muted for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 non-advisory board members.

2 If you're a speaker and you're not  
3 speaking please mute your phone because we're  
4 getting a lot of background noise right now.

5 Please note that we do not have a  
6 scheduled public comment session today. The  
7 call-in information has been posted on the website  
8 so the public can listen in but not participate  
9 in the discussion.

10 The advisory board voted at its April  
11 2016 meeting that the subcommittee meeting should  
12 be open to the public. A transcript and minutes  
13 will be prepared from today's meeting.

14 During board discussions today as we're  
15 on a teleconference line please speak clearly  
16 enough for the transcriber to understand. When  
17 you begin speaking especially at the start of the  
18 meeting please state your name so we can get an  
19 accurate record of the discussion.

20 And I'd like to ask our transcriber to  
21 let us know if you're having any issues with hearing  
22 or with recording.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As the DFO I see that the minutes are  
2 prepared and ensure they're certified by the chair.

3           The minutes of today's meeting will be available  
4 on the website no later than 90 calendar days from  
5 today.

6           If they're available sooner we'll  
7 publish them sooner as well.

8           We'll also be publishing verbatim  
9 transcripts which are obviously more detailed in  
10 nature. Those transcripts should be available on  
11 the website within 30 days.

12           I'd also like to remind the advisory  
13 board members that there are some materials that  
14 have been provided to you in your capacity as  
15 special government employees and members of the  
16 board which are not for public disclosure and can't  
17 be shared or discussed publicly including in this  
18 meeting.

19           Please be aware of this as we continue  
20 with the meeting today. These materials can be  
21 discussed in a general way which does not include  
22 using any personally identifiable information such

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as names, addresses, specific facilities of the  
2 cases being discussed.

3 And with that I convene the meeting of  
4 the Advisory Board on Toxic Substances and Worker  
5 Health, Presumptions Working Group. And I'm going  
6 to turn it over to Dr. Markowitz.

7 CHAIR MARKOWITZ: Thank you, Carrie.  
8 And actually, thank you Carrie for all the support  
9 work and work you do with the board. It's extremely  
10 useful.

11 I'd like to welcome people onto this  
12 call from the Presumptions Working Group. I'd also  
13 like to welcome any members of the public who are  
14 listening in.

15 We don't have a public comment period  
16 during this working group meeting or for that matter  
17 any of the subcommittee calls as Carrie mentioned.

18 However, we do welcome always written  
19 public comments which we read and try to take into  
20 consideration in terms of our discussions and  
21 decisions.

22 I think first we should probably just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 go around in case there are any members of the public  
2 who aren't familiar with board members and just  
3 very briefly identify ourselves.

4 I'm Steven Markowitz. I'm an  
5 occupational medicine physician and  
6 epidemiologist, and professor at the City  
7 University of New York.

8 And Carrie, if you could just cite  
9 peoples' names and then they can respond. That's  
10 probably the easiest way.

11 MS. RHOADS: Okay. Dr. Cassano.

12 MEMBER CASSANO: This is Dr. Victoria  
13 Cassano. I am also an occupational and  
14 environmental medicine physician. Retired Navy  
15 physician and also worked at VA in the central  
16 office doing environmental health policy. And now  
17 have my own company. Thank you.

18 MS. RHOADS: Okay. Ms. Vlieger.

19 MEMBER VLIEGER: Faye Vlieger, former  
20 Hanford worker and worker advocate.

21 MS. RHOADS: Dr. Boden?

22 MEMBER BODEN: This is Les Boden. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a professor at Boston University School of Public  
2 Health.

3 MS. RHOADS: Mr. Whitley?

4 MEMBER WHITLEY: Garry Whitley. I  
5 worked at Y-12 National Security Complex Oak Ridge  
6 for 42 years and worked with the worker health  
7 protection program.

8 MS. RHOADS: Dr. Silver?

9 MEMBER SILVER: Ken Silver, associate  
10 professor of environmental health at East Tennessee  
11 State University.

12 MS. RHOADS: Mr. Domina.

13 MEMBER DOMINA: Kirk Domina. I'm the  
14 employee health advocate for the Hanford Atomic  
15 Metal Trades Council. We're from Washington.  
16 I've been here 34 years and we represent  
17 approximately 2,600 current workers.

18 MS. RHOADS: Okay. Go ahead, Dr.  
19 Markowitz.

20 CHAIR MARKOWITZ: Thank you. So the  
21 agenda for today really is to discuss the PowerPoint  
22 which I prepared which really principally addresses

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one issue which are looking at the medical criteria  
2 for the diagnosis or the use of medical information  
3 for application to claims under EEOICPA with  
4 relation to various asbestos related diseases.

5 And then at the end I open up the --  
6 try and open up the discussion to consideration  
7 of other issues for the future in terms of  
8 presumptions.

9 Is there any other agenda item that  
10 members of the board would like to propose or  
11 discuss today?

12 Okay, well if something comes up I think  
13 we're going to have time because I don't think the  
14 agenda so far will occupy nearly all of our time,  
15 although I always seem to underestimate how much  
16 discussion is generated. But regardless.

17 And by way of taking a break we'll see  
18 how things go. In an hour or an hour and 15 minutes  
19 if it looks like we're going to go for quite a while  
20 longer then I'll call for a break.

21 On the presumptions we've been dealing  
22 with scientific technical medical issues that some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 members of the board are much more familiar with  
2 than other members.

3 And I just want to reiterate that if  
4 there are issues, terms, or comments that people  
5 don't understand we can very quickly explain things  
6 in a way that allows everybody to understand.

7 Because everybody makes important  
8 contributions and I really don't want folks feeling  
9 shut out in any sense because the doctors are  
10 talking about medical stuff.

11 So we should be able to communicate in  
12 a way where everyone understands and can  
13 contribute. So I probably should have said that  
14 before, but in any event I feel that reflects the  
15 spirit of the board.

16 So let's go to the PowerPoint. And go  
17 to the first slide.

18 Now, just to summarize, this is the work  
19 we've already done on presumptions. And you see  
20 listed the first four content, either diseases or  
21 exposures.

22 We've mostly focused on exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presumptions rather than on helping DOL make a  
2 determination about how to recognize a diagnosis  
3 of disease.

4 We did that for asthma but we didn't  
5 really do that for COPD, for asbestos-related  
6 diseases, and we didn't weigh in on how they should  
7 look at hearing loss in terms of diagnosing hearing  
8 loss.

9 We did, however, weigh in on the  
10 exposure side. And then early on we had  
11 recommended actually cessation of use of a  
12 presumption for post-95 toxic exposures, a  
13 presumption which is the recommendation that DOL  
14 has accepted.

15 And then we earlier this week formally  
16 endorsed as a board the current presumption, so  
17 that's not a recommended change at all in how DOL  
18 treats sarcoidosis and its equivalents with CBD  
19 if a person is a covered beryllium employee. So  
20 that's the work we've done so far.

21 And we've concentrated on I think  
22 fairly common outcomes for which people make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 claims, especially on the respiratory disease side,  
2 but also on the hearing loss. So the next slide.

3 So, I think it was in the last meeting  
4 when we were discussing asbestos-related diseases  
5 that someone suggested that maybe we should look  
6 at the medical side, at the diagnostic side, and  
7 see if there's some helpful suggestions that we  
8 could make to DOL in terms of how they recognize  
9 various asbestos-related disease.

10 Now, from their manual -- by the way,  
11 if you haven't seen the updated procedures manual,  
12 updated, the date was April 2017, you should take  
13 a look. It's very nicely organized.

14 I don't think the content has been  
15 changed, but it's organized in a way that makes  
16 it much easier to locate things.

17 So as we actually discussed in April  
18 that the current program recognizes a variety of  
19 asbestos-related diseases including those not --  
20 that don't represent cancer, and that's asbestosis  
21 or scarring of the lung tissue itself due to  
22 asbestos. Pleural plaques and pleural thickening,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scarring of the covering of the lungs, the pleura.

2 They actually mentioned something  
3 which is fairly uncommon and we haven't discussed  
4 before, but sometimes asbestos after many years  
5 causes a fluid to accumulate, fluid that's  
6 elaborated by this covering of the lung, the pleura.

7 And it's called pleural effusion. Accumulates  
8 at the bottom of the lung and then eventually  
9 resolves.

10 It's not a malignancy. It usually  
11 leaves behind some scarring, but it's something  
12 actually that the program and the procedure manual  
13 recognizes. So even though we didn't suggest it  
14 in April it is part of the disease spectrum of the  
15 program.

16 And then secondly, the various  
17 malignancies that are recognized by the program  
18 which are malignant mesothelioma, cancer of the  
19 pleura.

20 Now, by the way, mesothelioma occurs  
21 elsewhere in the body besides in the chest. It  
22 occurs about 10 percent of the time in the abdomen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 where it's called peritoneal mesothelioma.

2 And then less than 1 percent of the time  
3 at other locations.

4 And we're not going to discuss  
5 mesothelioma outside of the chest today because  
6 the procedure manual doesn't -- I don't think it  
7 addresses mesothelioma of the abdomen or otherwise  
8 known as peritoneal mesothelioma.

9 And so we're just sort of skipping over  
10 that for now. It's extremely rare and there are  
11 about 250 cases total in a year among Americans,  
12 350 million people of which there are a total of  
13 250 cases total per year of peritoneal  
14 mesothelioma.

15 So we're not going to address that  
16 today.

17 And then the other cancers including  
18 lung cancer, and cancer of the ovary, and cancer  
19 of the larynx. Next slide.

20 By the way, I'll occasionally take a  
21 breath so jump in with comments, questions, or  
22 interrupt me so that this isn't a monologue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           At certain points I'll open it up for  
2 specific comments.

3           Now on the next few slides what I do,  
4 I simply abstracted the current language from the  
5 procedure manual on how claims examiners and the  
6 program as a whole view medical evidence of  
7 asbestosis and other conditions.

8           So where at the top of the slide it says  
9 the EEOICPA Procedure Manual Chapter 18 this is  
10 the language that they use.

11           So the claims examiner is instructed  
12 for a claim of asbestosis to look at a number of  
13 different sources of evidence.

14           And they look for an opinion of a  
15 qualified physician. This is presumably the  
16 treating physician, at least initially. And that  
17 that opinion is based on a chest X-ray finding,  
18 a CAT scan finding, CT scan finding, an MRI which  
19 is a fancier imaging study of the chest, pulmonary  
20 function tests or breathing tests, or lung biopsy.

21           Or, and/or another source of evidence  
22 is the physician report from the DOE's former worker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 program.

2 And/or another source of evidence is  
3 the death certificate.

4 So, I have to say, and here I'm going  
5 to open it up for comments, I have to say I'm a  
6 little puzzled still about how this works.

7 The claims examiner is collecting  
8 information the claimant has sent in. They get  
9 hopefully some report from the treating physician  
10 and the treating physician say in a case of  
11 asbestosis might say this is asbestosis that the  
12 person has and they may or may not cite the evidence  
13 for that diagnosis.

14 And presumably the claims examiner gets  
15 a report of the chest X-ray, or the CT scan, or  
16 the biopsy.

17 And what I'm trying to understand is  
18 does the claims examiner look at not just the  
19 physician report, but they're looking at the chest  
20 X-ray report and the CT report, the lung biopsy  
21 report, and they're trying to see whether what they  
22 are reading in those reports constitutes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 asbestosis, or there are findings that allow them  
2 with some confidence to say yes, this person's claim  
3 is for asbestosis.

4 Is that your -- speaking to the other  
5 board members here -- your general impression of  
6 the process of how the claims examiner works?

7 MEMBER CASSANO: Steve, I was kind of  
8 confused as you know from my email about the --  
9 I'm not quite sure whether it's all -- I guess that  
10 they're saying that these are all the sources of  
11 evidence, but I don't think any of them are used  
12 definitively.

13 I think there's a lot of -- what's the  
14 word I'm looking for. There's a lot of  
15 interpretation allowed here.

16 So this is what I wrote you about, DOE  
17 WP physician findings. And that's when I asked,  
18 gee, does it mean that only -- that any other  
19 qualified MD, there has to also be chest X-rays,  
20 CT, MRI, before DOE from a worker program physician  
21 all you need is that physician's statement that  
22 it's asbestosis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I don't know whether you need any  
2 combination of these, or any one of these. To me  
3 it's confusing and I presume then that it's probably  
4 confusing for CEs as well. I don't know.

5           CHAIR MARKOWITZ:       Right.       Other  
6 comments?

7           MEMBER VLIEGER:   This is Faye. What  
8 I find with asbestos diagnosis, unless it's one  
9 of the former worker physicians who's actually  
10 looking at it and saying that this is asbestosis  
11 it's very hard to get a diagnosis from your  
12 pulmonary physician because they feel unable to  
13 make a diagnosis from what they see.

14                   And so I'm not sure how to change that.  
15       Maybe include the wording of what they're supposed  
16 to be looking for more clearly.

17                   But even at that if you show them the  
18 document they say well, I don't know how to diagnose  
19 asbestos.       And this is from qualified  
20 pulmonologists.

21                   So I don't know if we can fix that or  
22 not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 My experience is that you have some  
2 piece of evidence, not all of them, and then you  
3 have to have a physician stating that this is what  
4 this is.

5 In the past the former worker program  
6 physicians were not accepted because it wasn't the  
7 attending physician. That's considered a one-time  
8 occupational medicine physician which I find  
9 appalling, but that's what they've been doing.

10 CHAIR MARKOWITZ: And later I'm going  
11 to show some slides of the former worker program's  
12 language that they -- or basis for their decisions.

13 Other comments.

14 MEMBER WHITLEY: This is Garry. In  
15 Oak Ridge our pulmonary doctors gives a diagnosis  
16 and writes the letter, sends it -- gives the  
17 claimant a letter and they send a letter that  
18 they've been diagnosed with asbestosis.

19 And usually they don't question it  
20 pretty much. And the doctors here in Oak Ridge,  
21 if you've been working with asbestos in groups that  
22 have, and they diagnose it, that's pretty much what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 all has to happen.

2 I had a friend this week that just told  
3 me he got his claim of asbestosis and it hadn't  
4 been probably three or four months since he filed.

5 MEMBER CASSANO: And that was because  
6 the physician in the local area -- is that just  
7 a local physician or is that within a former worker  
8 program?

9 MEMBER WHITLEY: No, it's a local  
10 pulmonologist in this area. We've got several  
11 right here in Oak Ridge and they see a lot of people.  
12 They diagnose asbestos pretty often.

13 MEMBER CASSANO: I think people that  
14 are used to seeing individuals exposed to asbestos  
15 don't have difficulty diagnosing it. Whether  
16 you're an occupational physician, or a  
17 pulmonologist, or an internist.

18 But even pulmonologists who don't see  
19 it are uncomfortable diagnosing it I think. They  
20 call it either pulmonary fibrosis or they --  
21 whatever they're going to call the small -- I'm  
22 not thinking straight for some reason, but whatever

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're going to call what they see on a chest X-ray  
2 they're uncomfortable calling it asbestosis.

3 A lot of times because they don't ever  
4 ask for an occupational history so they don't know  
5 the person's been exposed to asbestos.

6 CHAIR MARKOWITZ: For the transcriber  
7 that interchange was between Garry Whitley and Dr.  
8 Tori Cassano.

9 MEMBER CASSANO: Sorry about that.

10 CHAIR MARKOWITZ: That's okay. Garry  
11 Whitley is the one with the attractive accent so  
12 you can always recognize him.

13 MEMBER CASSANO: Yes, I'm the one that  
14 speaks like a doctor.

15 CHAIR MARKOWITZ: Other comments?

16 MEMBER SILVER: This is Ken. This is  
17 pretty interesting. We have two extremes laid out.

18 One is at Oak Ridge where the pulmonologists are  
19 experienced and cooperative in making the  
20 diagnosis.

21 And then we have Faye's experience  
22 where they're not. And it seems an important

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question for us is how much discretion do the claims  
2 examiners have between those two poles and how do  
3 they exercise it.

4 And they qualified in the case of Oak  
5 Ridge to overrule or are they qualified to construct  
6 a diagnosis for a Hanford worker who didn't get  
7 one from their pulmonologist.

8 MEMBER BODEN: This is Les Boden. I'm  
9 wondering following up on this conversation whether  
10 there ought to be some either information or  
11 guidance for the pulmonologists who are treating  
12 people.

13 So information might be providing them  
14 with information about whether that person worked  
15 on a job that DOE would consider to be potentially  
16 asbestos exposed, or guidance about how to think  
17 about what DOE is looking for from them which would  
18 presumably include some information about exposure  
19 to asbestos.

20 This is for Faye's physicians and not  
21 for Garry's I guess.

22 MEMBER CASSANO: What I find with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 treating physicians a lot that are experienced at  
2 either primary care or the (inaudible) doc is that  
3 even if you give them all of that they're always  
4 going to waffle. I see this all the time.

5 They're never going to say it's at least  
6 -- it's most probably. That's the best you're  
7 going to get. You're never going to get even an  
8 at least as likely as not statement, or a definitive  
9 statement that what they're seeing on chest X-ray  
10 on the person's pulmonary function is due to  
11 asbestos.

12 They're going to say is consistent with  
13 asbestos, consistent with asbestos exposure,  
14 probably due to asbestos, or possibly due to  
15 asbestos.

16 I find that most of them aren't going  
17 to pin etiologic certainty with something that does  
18 not -- even with stuff that they are very familiar  
19 with. A lot of times they won't do it.

20 So I think we need to find some way of  
21 giving the CEs enough information. If they have  
22 a definitive diagnosis then that's fine, but if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       they've got a chest X-ray that has fibrosis or has  
2       B reading that shows one zero B read or something  
3       like that.

4               I think it needs to go to a CMC.  
5       Because I don't think for the most part without  
6       something clear that says asbestosis that it goes  
7       to radiology reports or MRI, CT, or whatever that  
8       says definitively asbestosis.

9               They       need       that       clinical  
10       interpretation.

11               CHAIR MARKOWITZ: This is Steve. And  
12       that's probably the way it works.

13               In fact, after this call I'll ask for  
14       some clarification from DOL about how this works  
15       in practice.

16               But we're going to get into some  
17       specific language on medical evidence which I think  
18       will help the process. Even if the process doesn't  
19       change it'll help the CE by giving more specifics  
20       about diagnosis.

21               It'll help the treating physician  
22       because they'll be able to look at the specifics

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that by the way exist in some other aspects of the  
2 program, some of the statutory, for instance,  
3 beryllium and silica, actually in the act.

4 It's not in the act about asbestosis  
5 nor in the procedure manual.

6 So let's continue with the next slide.

7 So this is cited from the procedures manual about  
8 the opinion of the qualified physician who states  
9 on any or all of the following, pulmonary  
10 interstitial fibrosis with or without heart  
11 enlargement, CT or MRI finding of lung scarring,  
12 pleural thickening, heart enlargement, pulmonary  
13 function test finding of restriction. That's  
14 lower lung volumes. The person can't breathe  
15 sufficiently.

16 And the PFT requires a physician  
17 interpretation or lung biopsy. And it mentions  
18 that lung biopsy sputum cytology which is simply  
19 looking at cells in sputum or a bronchial lavage  
20 which is putting fluid into the lung and then taking  
21 it out and looking at cells. It often shows  
22 asbestos bodies which are old asbestos fibers that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are coated with protein and have a very distinct  
2 appearance.

3 Those findings are helpful but not  
4 definitive. So that's kind of a summary from the  
5 manual as to how the CE and others look at the  
6 information that the treating physician provides.

7 Next.

8 And/or the former worker program. And  
9 the assessment. And the language of the procedure  
10 manual says they accept the physician assessment  
11 of asbestosis or asbestos related lung disease.

12 And from the language frankly looks  
13 like the CE doesn't necessarily have to look at  
14 the data that we just went over in the previous  
15 slide, meaning chest X-ray, CT results or the like.

16 It looks like it can be based on the  
17 FWP determination. And part of the logic of that  
18 would be frankly the FWP determination is based  
19 on the chest X-ray and occupational history which  
20 we'll go over in a second.

21 And then the third source of evidence  
22 -- next slide -- that the CE or the claimant process

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can examine is the death certificate.

2 And here they want to see asbestosis  
3 on the death certificate as either the cause or  
4 a contributing factor.

5 And if the death certificate says  
6 something other than asbestosis because it very  
7 easily could say pulmonary fibrosis, or fibrosis  
8 of the lung, or interstitial fibrosis, or something  
9 that is kind of equivalent but less specific than  
10 asbestosis then the claims examination process  
11 needs evidence, other evidence to support the  
12 diagnosis of asbestosis which makes a lot of sense.

13 Next slide.

14 And moving onto cancers. The  
15 procedure manual requires that the CE find  
16 confirmation of the diagnosis of mesothelioma of  
17 the pleura. And it's not specified exactly what  
18 that is although in practice it's almost assuredly  
19 it's going to be the pathology report, examination  
20 of the tissue of the cancer.

21 Again, from the procedure manual, now  
22 moving onto pleural plaques and pleural effusions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this is in the non-malignant category, plaques  
2 being the scarring of the pleura.

3 And then the supportive medical  
4 evidence that is examined in the claims process  
5 includes the physician diagnosis, some chest X-ray  
6 or CT, in other words some imaging evidence of  
7 pleural plaques or pleural thickening which is not  
8 due to surgery or trauma.

9 And we've got rounded atelectasis which  
10 is quite specific to asbestos. What happens is  
11 that the pleura sits on top of the lung and when  
12 it gets scarred, and the scarring can get exuberant  
13 or kind of aggressive, it can envelop a section  
14 of the nearby lung and kind of wrap -- the scarring  
15 of the pleura wraps around that section, a small  
16 section of the lung.

17 And it's called rounded atelectasis.

18 And it has the potential to appear in the chest  
19 X-ray or CT scan as fairly specific for  
20 non-malignant asbestos related disease.

21 And then finally the presence of  
22 bilateral pleural effusions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So again, these are just the pieces that  
2           are examined in the process of looking at a claim  
3           for pleural plaques or pleural effusions.

4           By the way these are two separate  
5           entities. This pleural plaque, the thickening,  
6           the scarring is one thing, and the pleural effusion  
7           is something separate, much less common.

8           MEMBER BODEN: This is Les Boden. Can  
9           I interrupt just for a second to clarify for me?

10          So I see these various pieces of  
11          supportive medical evidence. Is there any  
12          guidance to the CE about how to use these various  
13          pieces to come to a conclusion? And that would  
14          hold clearly for the asbestos.

15          CHAIR MARKOWITZ: Yes. So if you go  
16          to the previous slide just so we can look at what  
17          we were looking at.

18          I don't know what exists outside the  
19          procedure manual because I didn't really look at  
20          the circulars, go around the circular bulletins  
21          or any other sources of guidance. So there may  
22          be something else somewhere else, but probably not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 much actually.

2 This is a summary of how the CE looks  
3 at these cases for claims of information.

4 MEMBER BODEN: Right.

5 CHAIR MARKOWITZ: There's no more  
6 specificity than this. Unless I somehow didn't  
7 summarize these properly, but I think I got the  
8 keywords right.

9 MEMBER BODEN: Okay. So I'm thinking,  
10 I'm looking at this is like how many of the four  
11 things on this list would I need before I was  
12 convinced that I should approve the claim for  
13 pleural plaques.

14 Or which ones are particularly  
15 convincing to me. That's not part of this,  
16 correct?

17 CHAIR MARKOWITZ: Not that I saw. I  
18 mean, what probably happens, my guess is that  
19 physician diagnosis, the physician cites an imaging  
20 study or the CT scan as evidence.

21 That would be -- predominantly in  
22 asbestos disease that would be the typical or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 expected kind of scenario.

2 MEMBER BODEN: Right.

3 CHAIR MARKOWITZ: Now, if the CE just  
4 sees a physician diagnosis without reference to  
5 a chest X-ray I'm not sure what happens.

6 MEMBER BODEN: I would suspect not be  
7 convincing.

8 CHAIR MARKOWITZ: Right. Nor frankly  
9 should it.

10 MEMBER BODEN: Yes, appropriately so.

11 CHAIR MARKOWITZ: Right, right. So,  
12 next slide.

13 And then there is instruction on these  
14 specific plaques and effusions that the claims  
15 examiner will consult either with the treating  
16 physician or the CMC if evidence was inconclusive.

17 If the pleural thickening is in an area  
18 of surgery or trauma, or if there's other --  
19 evidence of other causes of a pleural effusion is  
20 present.

21 I would just tell you pleural  
22 thickening, if a person has a traumatic rib

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fracture, or if the person undergoes chest surgery  
2 it would typically leave behind an area of pleural  
3 thickening in which case you wouldn't really  
4 ascribe that pleural thickening or plaque to  
5 asbestos. You would say it's due to that trauma  
6 or surgery. It's not necessarily -- all the time.

7 And then pleural effusions, this is  
8 item number three, pleural effusions are very  
9 common. Asbestos related, benign pleural effusion  
10 is very uncommon and so it makes a lot of sense  
11 to look for other more ready explanations for  
12 pleural effusions. Next slide.

13 So, just to move to what the former  
14 worker program does. These are surveillance case  
15 definitions so these are not diagnostic. These  
16 are how we identify for the purposes of reporting  
17 to DOE in large populations that we screen how we  
18 identify a case of asbestosis which is they have  
19 a history of exposure to asbestos or a job title  
20 in which it's reasonably likely to have exposure  
21 to asbestos.

22 And we require a B reading which is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chest X-ray by a physician who is specially trained  
2 and passed a test given by NIOSH for reading X-rays  
3 for dust diseases.

4 The B reading of a standard chest film  
5 demonstrating bilateral irregular opacities in the  
6 lung tissue itself with a specific shape and size.

7 And stu means irregular.

8 And the profusion score meaning a  
9 density of the lowest level or higher, the lowest  
10 level being 150 which is a relatively slight  
11 disease.

12 So there's some specificity. It can't  
13 exactly be transferred over to the program, but  
14 it's an example of how the former worker program  
15 looks at it.

16 And notice that if she's not on the film  
17 -- chest film, it doesn't discuss pulmonary  
18 function tests or any other source of evidence.  
19 Next slide.

20 MEMBER BODEN: Sorry, this is Les  
21 again. So it appears to me, and I don't really  
22 know this stuff that well, that this would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 somewhat more restrictive than you might get from  
2 using the other pieces of evidence that were talked  
3 about before, more consistent maybe, but maybe also  
4 more restrictive or not.

5 CHAIR MARKOWITZ: Well, it doesn't  
6 address the death certificate obviously. The FWP  
7 or screening program.

8 MEMBER BODEN: But putting the death  
9 certificate aside. So the question is at least  
10 in your opinion would it be -- would using this  
11 definition only screen in fewer cases than.

12 CHAIR MARKOWITZ: So it depends on --  
13 probably not many. It could be more restrictive.  
14 It depends on how the current guidelines are  
15 applied.

16 For instance, notice that in this  
17 definition that it's nothing about breathing tests.

18 MEMBER BODEN: Right.

19 CHAIR MARKOWITZ: If the claims  
20 examiner is looking at a case in which a person  
21 reports asbestos exposure so that's off the table  
22 -- has a normal X-ray, or X-ray findings that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a lot less specific than what we were looking at  
2 on the screening here, but has restriction on  
3 pulmonary function.

4 Would the claims examiner, the treating  
5 physician, the claims examiner or the CMC consider  
6 that to be sufficient to say it's asbestosis.

7 In practice they might. Would they be  
8 right? Unlikely. But they could do that.

9 MEMBER BODEN: The other problem with  
10 this for general use would be that you'd need to  
11 have a B reader.

12 CHAIR MARKOWITZ: Oh yes, yes. This  
13 is too restrictive in that sense because most people  
14 aren't going to people who are B readers. Most  
15 B readers are radiologists. They're not primary  
16 care doctors, or pulmonary specialists.

17 And if you send their X-ray over to  
18 Methodist Hospital in Oak Ridge, or Baptist over  
19 in Paducah there's no B reader in sight. So yes,  
20 in that sense in particular it's different.

21 And then the other thing in the current  
22 guidelines is lung biopsy, and obviously former

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 worker program isn't looking at a lung biopsy.  
2 They don't do that as part of a screening program.

3 Any other comments at this moment?  
4 Okay, so just moving on FWP for pleural disease.

5 I'm sorry, go back to the previous slide. For  
6 pleural disease due to asbestos.

7 What we require in the former worker  
8 program is a history of exposure to asbestos or  
9 a job title which we expect has exposure to  
10 asbestos.

11 And again, a B reading notation of the  
12 presence of unilateral or bilateral pleural  
13 thickening consistent with pneumoconiosis.  
14 Pneumoconiosis just means dust disease of the lung.

15 So mind you, we're talking about an  
16 expert looking at films, just films for  
17 occupational lung diseases.

18 And it's most likely that if that person  
19 sees a single pleural plaque on a side where they  
20 also see rib fractures they're probably not going  
21 to call that a pleural thickening consistent with  
22 pneumoconiosis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So embedded in this process is an issue  
2           that the current program is more explicit about  
3           which is this issue of pleural thickening due to  
4           trauma or surgery. That's how that's dealt with.

5           So if we could go to the next slide.

6           So, the next series of slides just is  
7           proposed language subject to change of course.  
8           It's a first draft about ways in which defining  
9           these entities, the kinds of evidence they're based  
10          on what would be helpful to the process.

11          The way I think about them is maybe --  
12          some of these criteria may be within the reach of  
13          the claims examiner. They would certainly be in  
14          the reach of many of the treating physicians.

15          And this kind of specificity should  
16          certainly be helpful to the CMCs in making decisions  
17          about who has and doesn't have any of these  
18          entities.

19          And so that's what -- it's not supposed  
20          that a claims examiner will necessarily be able  
21          to understand and apply all of these criteria by  
22          way of their background. But to some extent they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 probably could.

2 But in any case the intent is to give  
3 some greater specificity.

4 And so for asbestosis there are three  
5 slides that mimic the current procedure. One is  
6 the radiography. The other is the lung biopsy,  
7 et cetera.

8 But the first one is obviously history  
9 of asbestos exposure. We dealt with that in our  
10 previous recommendations so I'm not going to focus  
11 on that.

12 Remember we went over 30 days for  
13 mesothelioma, 250 days for the other entities.  
14 And then we sent out various job titles or the like  
15 to address exposure.

16 But on the disease side what we see is  
17 the chest X-ray or the CT scan which is the presence  
18 of bilateral diffuse interstitial fibrosis which  
19 affects any combination of the mid and lower lung  
20 zones. And that's what asbestosis is.

21 And that definition, you can look at  
22 a chest X-ray and identify whether the person has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 it or not, or the CT scan.

2 And that's in fact what the B reader  
3 is doing. But it's also what the radiologist is  
4 doing and what the pulmonary specialist is doing  
5 in looking. And for that matter even the primary  
6 care doc should be able to do this if they have  
7 some experience in reading films.

8 They may not call it asbestosis, but  
9 they should be able to -- the definition should  
10 be able to be used in looking at the X-ray report  
11 and seeing whether it's bilateral, is it only in  
12 the upper zones which wouldn't be asbestosis, and  
13 is it a diffuse process as opposed to a specific  
14 scar in the mid left lung.

15 And then if the ILO score is used, and  
16 this is the appropriate definition, it's not  
17 expected that the ILO score is going to be used,  
18 but it should be in there as a guideline. Question,  
19 comment?

20 MEMBER CASSANO: Tori Cassano. I  
21 think the ILO scoring can only be used on B readings.

22 I think it should just be changed if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a B read chest X-ray is used then ILO scoring  
2 upgraded in one, zero, just, you know, s, t, or  
3 u, or passing for clarity.

4 Because should the ILO scoring on a CT  
5 scan or a regular chest X-ray.

6 CHAIR MARKOWITZ: Okay, so you're  
7 saying if a B reading is performed rather than if  
8 ILO scoring --

9 MEMBER CASSANO: Yes. If the B  
10 reading is performed then ILO scoring greater than  
11 one dash zero adds to your, you know, opacity.

12 CHAIR MARKOWITZ: Right. I changed it  
13 in my version. I mean, I'm not a B reader, but  
14 I will use the ILO scoring. So, that's a little  
15 odd, but we can address that.

16 Other comments? Next slide. So this  
17 mimics current language which is the second  
18 diagnostic criteria is what comes out of the FWP  
19 program which we went over before is very similar  
20 to what the previous slide showed.

21 The next slide is -- here this addresses  
22 pathology.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, most people who have scarring in  
2           the lung tissue don't come to biopsy. So this,  
3           I'm showing the current program and the future is  
4           going to be quite uncommon.

5           But sometimes if a person has severe  
6           fibrosis scarring, or if it's progressed very  
7           quickly which usually asbestosis doesn't then they  
8           may come to biopsy to see if they have something  
9           that can be treated.

10           So to be complete we need a criterion  
11           for that. And it's a simple definition which is  
12           when you look at cells what you see is a diffuse  
13           interstitial process in the lung.

14           I should say that there are -- the  
15           pathologists argue about some other things which  
16           mainly center on whether they see asbestosis fibers  
17           or asbestosis bodies and how much of that do you  
18           need.

19           But there's general agreement on at  
20           least the histologic evidence when you look at cells  
21           under a microscope that there needs to be a diffuse  
22           interstitial process, scarring process seen. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's what is here.

2 If you could look to the next slide.

3 Here we favor a history of asbestos exposure.  
4 We identify exposure.

5 But actually when you have lung tissue  
6 you have the opportunity on asbestos to actually  
7 look at whether there are fibers or not.

8 Just parenthetically, this is a  
9 complicated subject because some fibers stick  
10 around and some fibers don't.

11 Mind you, most people with asbestosis  
12 are 20 or 30 years from their initial exposure to  
13 asbestos.

14 One type of asbestos, the most common  
15 type tends not to concentrate in the lung but to  
16 move onto the pleura and elsewhere.

17 So these are kind of complicated  
18 topics.

19 But if there is tissue and it can be  
20 used to support a claim then you can document  
21 exposure, meaning if you're not sure a person has  
22 a history of asbestos exposure then you can use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tissue burden of either fibers or asbestos bodies  
2 to identify the exposure.

3 But the history comes first. The  
4 history of asbestos exposure is sufficient. And  
5 we should probably say this actually that it is  
6 recognized as being sufficient to relate the  
7 finding of diffuse scarring for the diagnosis of  
8 asbestosis, but that in the absence of a history  
9 that exposure can be documented through finding  
10 of fibers or asbestos bodies.

11 Now, what you're looking at here on the  
12 slide says consistent with excessive asbestos  
13 exposure. John Dement wrote in and suggested that  
14 phrase consistent with excessive asbestos exposure  
15 be replaced by compatible with asbestosis.

16 Because the examining laboratories  
17 vary one to the next. And what one considers  
18 excessive is different from what others consider  
19 excessive. So he's just suggesting and I think  
20 he's right that we should simply say that they find  
21 the concentrations of asbestos fibers or asbestos  
22 bodies compatible with asbestosis by that very same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 examining laboratory.

2 That lab has evidence. Relatively few  
3 labs do this counting of fibers and so they would  
4 have experience with asbestos related disease.

5 So, any comments or questions on that?

6 MEMBER CASSANO: I have just one  
7 comment. The only fear I have with this is that  
8 a CE in the absence of history of asbestos exposure  
9 is going to tell somebody -- that they cannot accept  
10 the finding or something.

11 CHAIR MARKOWITZ: Right. So --

12 MEMBER CASSANO: We're not even saying  
13 go get a chest X-ray, or go get a CT scan or whatever.

14 The only alternative to the word here of asbestos  
15 exposure is a chest X-ray or CT scan according to  
16 what we're putting here is a lung biopsy.

17 CHAIR MARKOWITZ: Right, right. So I  
18 think it should be clear that the history is  
19 sufficient.

20 I don't think -- I find it hard to  
21 believe that claims examiners can direct the  
22 medical care of each individual.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But I see what you're saying. They  
2 could not fully appreciate what it means to have  
3 a lung biopsy.

4           So I think I should change the language  
5 here to make it clear that the history of asbestos  
6 exposure is sufficient, and that in the absence  
7 of that history.

8           Well, you know, if you really don't have  
9 a history of exposure, even if you have diffuse  
10 interstitial fibrosis it's hard to prove your case.

11           MEMBER CASSANO: I agree.

12           CHAIR MARKOWITZ: By the way, let me

13 --

14 (Simultaneous speaking)

15           MEMBER CASSANO: -- exposure is a  
16 history of disease that finds it before, correct?

17 (Simultaneous speaking)

18           MEMBER CASSANO: -- working at a job  
19 it's presumed to have had asbestos exposure,  
20 correct?

21           CHAIR MARKOWITZ: My thinking is that  
22 if they accept our expanded definition of asbestos

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exposure that we recommended previously that that  
2 should capture everybody who's got exposure.

3 MEMBER CASSANO: Okay.

4 CHAIR MARKOWITZ: Mind you, asbestosis  
5 in particular takes a fair amount of exposure.  
6 It's not occult exposure that causes asbestosis.  
7 Mesothelioma, but not asbestosis.

8 MEMBER CASSANO: Right.

9 CHAIR MARKOWITZ: Yes. But it should  
10 be clear. Yes, other comments?

11 MEMBER BODEN: Yes, this is Les.  
12 First of all, I think that changing excessive  
13 asbestos exposure in the way that you suggested  
14 is a very sound idea.

15 Also, I'm just wondering whether when  
16 we talk about a history of asbestosis exposure that  
17 in -- if we're writing a presumption that someplace  
18 in the presumption we refer specifically to what  
19 we mean by that.

20 CHAIR MARKOWITZ: The history?

21 MEMBER BODEN: Yes.

22 CHAIR MARKOWITZ: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER BODEN:     And presumably the  
2 history also includes -- I'm trying to remember  
3 how it was worded before because I thought the  
4 wording was good, that it was a history, or having  
5 a job or task that was considered to be exposure,  
6 to have exposure to asbestos.

7                   (Simultaneous speaking)

8                   MEMBER CASSANO:   So you could actually  
9 have two presumptions.     You could have a  
10 presumption of exposure and we could list it as  
11 a presumption of exposure which is sort of what  
12 we did when we talked about history of asbestos  
13 exposure.

14                   We might actually call it a presumption  
15 of exposure.   It should be presumed that a person  
16 is exposed to asbestos if they have worked in  
17 whatever facilities, or done whatever jobs over  
18 a period of seven years for asbestosis.

19                   MEMBER BODEN:   Right.

20                   CHAIR MARKOWITZ:   Well, yes, in April  
21 we approved the exposure presumptions.

22                   MEMBER BODEN:   We just want to make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sure we incorporate it in this document.

2 MEMBER CASSANO: Maybe we should say  
3 an alternative for history, and we tell that history  
4 of exposure here we should say as per whatever the  
5 document is that contains our previous  
6 recommendation on how you define history of  
7 asbestos exposure.

8 CHAIR MARKOWITZ: Right. That's  
9 good. In fact, we might even repeat it here. I  
10 have a slide with -- in case they don't accept our  
11 previous recommendations, right?

12 (Laughter)

13 CHAIR MARKOWITZ: And that could  
14 happen, that could happen.

15 MEMBER BODEN: Right, in which case you  
16 can't refer back to it.

17 CHAIR MARKOWITZ: Exactly. Rejected  
18 recommendations. Okay, fine.

19 MEMBER WHITLEY: Garry here. What  
20 they're going to do -- a claims examiner going to  
21 do automatically is they're going to go to the SEM  
22 database. That's where they're going to get their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 history of exposure.

2 So if you were a pipefitter, asbestos  
3 worker, that stuff, you're fine. If you look at  
4 the buildings that says they contain asbestos, they  
5 show all of them in the whole plant.

6 You go down to a supervisor or even  
7 though he worked in that building with a crew every  
8 day, if you go down to a supervisor and look up  
9 to see if he was exposed to asbestos even though  
10 he's in that building it will say no. No, no.

11 Claims examiners are going to use the  
12 SEM database to help you, but they're also going  
13 to use it against you if you're not listed in it.

14 CHAIR MARKOWITZ: Yes, I'm just trying  
15 to bring up our recommendation on asbestos  
16 exposure.

17 This is from our recommendation from  
18 April which is on the exposure side. We  
19 recommended at least 250 days of exposure, job  
20 title, on the presumption side any maintenance or  
21 construction worker. And this would be exposure  
22 prior to 2005 with a minimum of 15 years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Anybody who doesn't make that  
2 presumption would be individually evaluated.

3           So I don't know, Garry, if that  
4 addresses the scenario that you raised here or not.

5           But that was our discussion of documenting  
6 asbestos exposure previously, at least for  
7 asbestosis.

8           MEMBER WHITLEY: Garry here. I think  
9 the only way you can do it properly because you're  
10 going to be fighting against the SEM database.  
11 So, if you're not listed there you're going to have  
12 to prove it otherwise.

13           CHAIR MARKOWITZ: Right. You know,  
14 again, for asbestosis you need a fair amount of  
15 exposure to asbestos. So it should be provable.

16           And I'm not talking about industrial  
17 hygiene data. I'm talking about description of  
18 tasks, description of work that a person did, job  
19 title. In this one we're okay.

20           So let's move onto the next slide.

21           MEMBER SILVER: Before we move on, this  
22 is Ken. The lung tissue burden can also be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 demonstrated on autopsy tissue.

2 CHAIR MARKOWITZ: Yes. It's not  
3 specific here. It could be a biopsy or autopsy.

4 MEMBER SILVER: Thank you.

5 CHAIR MARKOWITZ: Next slide. Here's  
6 for asbestos pleural disease, a history of asbestos  
7 exposure. Again that harkens back to our previous  
8 recommendation.

9 And then finding on chest X-ray or CT  
10 a unilateral or bilateral pleural thickening, or  
11 plaques that's not readily explained by another  
12 cause.

13 And that should be sufficient for most  
14 doctors to be able to make a decision as to whether  
15 it's asbestos related pleural thickening or not.

16  
17 It gets tricky when a person has had  
18 heart surgery and you see diffuse or relatively  
19 extensive pleural thickening at the bottom of the  
20 lung on one side, and was that asbestos exposure  
21 or was that the surgery.

22 And doctors may disagree, but no amount

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of definition is going to resolve that. That's  
2 just judgment.

3 MEMBER CASSANO: Going back to the  
4 prior slide. You don't have to go back, but just  
5 thinking about the prior slide -- why can't somebody  
6 -- if somebody has fibrosis but no history of  
7 asbestos exposure and they have pleural plaques  
8 with no other explanation for them.

9 I mean I have always been told and have  
10 always considered pulmonary fibrosis and pleural  
11 plaque as sort of capping -- or asbestosis.

12 So why can't we use the presence of  
13 pleural plaque with the fibrosis as definitive of  
14 asbestosis?

15 I know there's some other things that  
16 can do that, but not a whole heck of a lot.

17 CHAIR MARKOWITZ: Yes. That's a good  
18 idea. Let me -- I will draft some language that  
19 addresses that.

20 Again, it takes a lot of asbestos  
21 exposure to get asbestosis.

22 MEMBER CASSANO: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR MARKOWITZ: And so it's unlikely  
2 to be occult, you know, or not noticed. But if  
3 a person really isn't in the right job title,  
4 doesn't report it, but has pleural plaques and  
5 interstitial fibrosis then I would probably lean  
6 towards asbestosis as you say.

7 So let me add some language to reflect  
8 that. Which we don't have to draft here because  
9 we're just working this up, but when we meet.

10 I'll circulate another version of this  
11 before the meeting in October or November.

12 MEMBER CASSANO: I could give you some  
13 language, but you probably will be better at it  
14 than I.

15 CHAIR MARKOWITZ: I mean, I'll draft  
16 it and everybody can look at it and chime in. Or  
17 if you want to send over language that's fine too.  
18 Whichever. That works. Other comments?

19 Okay, so let's go -- let's see, we're  
20 at pleural disease. Any comments on this  
21 particular slide? You notice we're not  
22 quantifying how much pleural thickening. We are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 leaving it open as whether it's one side or both  
2 sides. And I think that's appropriate to not get  
3 any more specific than this.

4 MEMBER VLIEGER: This is Faye. I have  
5 a question and it comes from the fact that I have  
6 a recent claimant that went through the IH in D.C.  
7 who we interviewed at our meeting there.

8 And this person was an asbestos  
9 insulator, but not for 15 years. He was there for  
10 17, 18 months, but he was an asbestos insulator.

11 During the time period where they  
12 weren't required to wear masks or alternative air.

13 And the IH said that his exposures would  
14 have been incidental and infrequent and thereby  
15 sending it to a CMC who of course was not going  
16 to disagree with the IH.

17 And of course the answer came back that  
18 his lung condition, not asbestos disease, but his  
19 lung condition was not caused or contributed to  
20 his asbestos exposure.

21 How are we going to get away from this  
22 being sent to the IH who presumes, or has to presume

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that the person worked or was exposed to asbestos?

2 I don't know how we're going to get away from that  
3 part of the law that says you have to prove exposure.

4 You know, you have to prove exposure.

5 CHAIR MARKOWITZ: This is Steven.  
6 Again, if our prior recommendation is accepted for  
7 that outcome, either pleural thickening, or pleural  
8 plaques, or asbestosis, if a person has 250 days  
9 of exposure certainly that person was an insulator,  
10 so they're like number one on the job title list.

11 If they had 18 months of work then they  
12 would make it as a matter of presumption.

13 MEMBER VLIEGER: You would think that,  
14 but the other thing that of course they threw out  
15 there again was, well, you know, smokers have this  
16 happen to them too, therefore that in a short period  
17 of work this couldn't have happened.

18 I don't know how we can get away from  
19 an IH and a CMC doing the same song and dance that  
20 they haven't already done here. If it gets sent  
21 to a CMC.

22 CHAIR MARKOWITZ: Well, there's no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 amount of guidelines that we can recommend that  
2 would get around the problem of misapplication or  
3 incorrect decisions.

4 Although, another recommendation that  
5 we're going to take a look at, there are a number  
6 of CMC decisions or recommendations or opinions  
7 and then look at their level of accuracy.

8 MEMBER VLIEGER: Okay, well I'm just  
9 trying to figure a way in this wording that it  
10 doesn't have to go out to an outside source. So  
11 far these are still recommendations I understand,  
12 but somewhere in the wording it's like well, I know  
13 this is presumptive, but we haven't said don't do  
14 the other things.

15 They still always have the option of  
16 doing the other things.

17 CHAIR MARKOWITZ: Yes, but we've also  
18 in other conversations have been circumspect about  
19 the claims examiner making decisions that they're  
20 not really qualified to make.

21 But you know, the idea of adding more  
22 specific diagnostic criteria would also help the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 treating physician because there are guideposts  
2 the treating physician could -- this is how the  
3 program looks at evidence for these entities. It's  
4 now more specific than it was previously.

5 And then they in their letter if it's  
6 true or cite that, okay, my decision on asbestosis  
7 is based on review of the chest X-ray which showed  
8 bilateral and diffuse interstitial fibrosis in mid  
9 and lower lung zones.

10 And the CE then should be able to match  
11 up what the treating physician has said with the  
12 evidence that we're recommending and say yes,  
13 that's the case. No need to send to the IH or CMC.  
14 We're done.

15 I think the added specificity would  
16 allow both the treating physician get a better sense  
17 of what's required, but also allow the CE to make  
18 a decision and not do what you're worried about  
19 which is sending it to an IH or CMC who's going  
20 to make the wrong decision. Does that make sense?

21 MEMBER VLIEGER: It does. I guess  
22 part of my frustration is we work on these things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and it's all logical and good, and then back in  
2 the trenches we're still dealing with the idiocy.

3 CHAIR MARKOWITZ: Well, you know, the  
4 application, should they accept these  
5 recommendations, the application, we should get  
6 around to figuring out how to help monitor the  
7 application of these things so that they're applied  
8 appropriately.

9 MEMBER SILVER: Ken Silver here. We  
10 might start generating a list of issues that we  
11 want to remark upon with great emphasis in our  
12 accompanying rationale.

13 The pathogenicity of plaques in  
14 asbestos, what smoking does and does not contribute  
15 to lung cancer and fibrosis as I understand it.

16 And maybe the phenomenon of short-term  
17 high-level exposure causing chronic asbestosis  
18 years later.

19 I think findings back from the late  
20 seventies, early eighties of lung bumps in an  
21 amosite plant you know, still cited.

22 So if you put a big emphasis on that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the rationale at least advocates can say look,  
2 we told you so.

3 CHAIR MARKOWITZ: Also the rationale  
4 would be accessible to the physicians who are part  
5 of the process meaning the treating physician and  
6 the CMC. So it would be useful.

7 Yes, I haven't transferred the  
8 rationale but when I do and send it around, if it  
9 doesn't have those points, Ken, throw them in there.

10 MEMBER SILVER: Okay.

11 CHAIR MARKOWITZ: Other comments on  
12 this? So let me see. It's 2:15. Do people want  
13 to take a five-minute break? I have three or four  
14 more slides. But if people want to take a break  
15 we can.

16 MEMBER BODEN: I'm okay going on, but  
17 maybe other people --

18 MEMBER CASSANO: I'm okay.

19 CHAIR MARKOWITZ: Okay. So let's  
20 continue then. Okay, next slide then.

21 So this is for pleural effusion. This  
22 is a very uncommon condition and I'm a little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 surprised actually to see it in the language of  
2 the manual.

3 But there's no definitive way of making  
4 the diagnosis. You need the history of exposure  
5 and then you need an unexplained pleural effusion.

6 Even in such a population 9 times out  
7 of 10 that pleural effusion is going to be caused  
8 by something else like heart failure, or  
9 inflammation, or very common causes.

10 So this definition should be  
11 sufficient. I don't think the claims examiner is  
12 really likely to be able to make this call, but  
13 treating physician and the CMC certainly could.

14 Because if a person has inflammation  
15 infection or a heart failure that should be pretty  
16 apparent from the medical record or from examining  
17 the person.

18 More than a dozen claims for this I'd  
19 be surprised. It's a very unusual condition  
20 especially these days.

21 Any comments on this? Okay. So let's  
22 go on to the next slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Okay, so this is important because  
2 these are common outcomes. And these are all the  
3 cancers that are recognized as being related to  
4 asbestos.

5           So how do we want the claims examiner  
6 to look at a case. Generally they are looking  
7 probably at present and they should look for  
8 pathology reports because there's either a biopsy,  
9 or autopsy, or surgery in which they've taken a  
10 whole bunch of tissue.

11           And it says on the pathology lung cancer  
12 it says mesothelioma or cancer of the ovary or  
13 larynx. It won't say asbestos, but that's not  
14 needed for this process.

15           The history of exposure comes from  
16 different kind of evidence.

17           There are some instances, particularly  
18 in older people, who aren't well enough to undergo  
19 a biopsy, or surgery, or there's no point in doing  
20 surgery.

21           They may not come to autopsy. And it  
22 doesn't happen very often but it does happen in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       which case the physician is left trying to make  
2       the best judgment without having tissue whether  
3       the person has a cancer and what the cancer is cancer  
4       of.

5                   And I think the claims process -- I'm  
6       not sure how it works now in terms of these claims,  
7       but it has to recognize this group of presumed  
8       diagnosis.

9                   And this is not something that the  
10      claims examiner would do. It is something that  
11      the physician would do.

12                   And that is just by way of example if  
13      a person comes in, they've lost weight, they're  
14      short of breath, they have fluid in their chest  
15      and they have a big mass coming from the pleura  
16      then chances are that's mesothelioma.

17                   Or they have a big mass in the lung and  
18      no one's going to do surgery, it's too risky to  
19      do biopsy, that's going to be a lung cancer with  
20      90 percent certainty.

21                   So this group should be specified in  
22      the claims examination process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           Or a death certificate that mentions  
2 one of these entities somewhere on the death  
3 certificate. Usually not very far down because  
4 at least for mesothelioma, cancer of the lung,  
5 cancer of the ovary people usually die of those  
6 entities.

7           MEMBER CASSANO: Do we need to say  
8 something about with or without looking for a  
9 history of smoking? Just because I see a lot of  
10 times that the person smoked, they've got lung  
11 cancer, it's due to smoking, it's not due to  
12 asbestos.

13           CHAIR MARKOWITZ: Yes, we should put  
14 that in the rationale. Rachel has told us that  
15 the process doesn't recognize or take into account  
16 smoking, but CMCs presumably read this.

17           We're not quite sure whether they  
18 follow everything in the program. And the treating  
19 physicians wouldn't be downed by this either.

20           So in the rationale it should be  
21 explicit. Smoking does not contribute to  
22 mesothelioma. Smoking does to lung cancer, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in a way that exacerbates the asbestos exposure,  
2 et cetera. We'll put that in the rationale.

3 MEMBER CASSANO: Okay, thanks.

4 CHAIR MARKOWITZ: So my question  
5 overall about these, is this added level of  
6 specificity and pointing out the relative utility  
7 of different ways of diagnosing or sources of  
8 evidence, would this represent an improvement over  
9 the current process.

10 MEMBER CASSANO: I think if they enlist  
11 the doc -- I mean, the doctor would be able to  
12 determine that.

13 MEMBER VLIEGER: I'm sorry, are we on  
14 slide 20? This is Faye.

15 (Simultaneous speaking)

16 MEMBER VLIEGER: -- on the WebEx.

17 CHAIR MARKOWITZ: Yes, we're on 20.  
18 We're on the cancer list. Yes.

19 MEMBER VLIEGER: So as far as all of  
20 this it looks pretty much normal the way it runs  
21 right now. I don't see any problem with it.

22 We don't usually have pathology. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 could make a comment on it on the death certificate.

2 But there again we run into the same problem on  
3 death certificates as we do with diagnostic  
4 paperwork from the physicians here. Unless it's  
5 been heavily charted and documented they're not  
6 going to make any asbestos diagnosis on a death  
7 certificate unless there's been an autopsy and  
8 those are not common.

9 CHAIR MARKOWITZ: So Faye, does that  
10 mean if a person has a documented history of  
11 asbestos exposure it's recognized by the claims  
12 process, but then has lung cancer on the death  
13 certificate but it doesn't say anything about  
14 asbestos related lung cancer, that that wouldn't  
15 necessarily be accepted?

16 MEMBER VLIEGER: That's correct.  
17 That's correct. I have a case right now where the  
18 person was in the hospital, had recently been  
19 diagnosed with multiple myeloma, had one chemo  
20 treatment, went to the hospital with a blood clot  
21 and the death certificate says he died from a blood  
22 clot. Does not mention the mesothelioma. So the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 death certificate is not useful at all unless it's  
2 specific.

3 Even if it's secondary or tertiary  
4 cause, it has to be on the death certificate.

5 But we have the physicians at the  
6 largest hospital in the area here for the Tri-Cities  
7 that they only put the most immediate cause of death  
8 and then to try and get a death certificate amended  
9 they refuse. So you have to go through the coroner  
10 and that can take up to a year because the coroner  
11 is busy with the most recently dead, not the more  
12 longer dead.

13 And in the two cases I had to have death  
14 certificates amended it took more than a year.

15 CHAIR MARKOWITZ: Right. Wow. Well,  
16 the problem is not DOL or the program, the problem  
17 is the healthcare system that doesn't get the death  
18 certificate right.

19 MEMBER VLIENER: Well, yes, that's the  
20 problem. What I see more of a problem is that DOL  
21 has the physicians running scared because the  
22 program guidelines are not clear.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And there are no pamphlets out to the  
2 doctors that say this is what I accept for  
3 mesothelioma. This is what we accept for COPD.  
4 This is what we accept for asthma.

5           The department has failed to do that  
6 probably to their own benefit. So the doctors take  
7 two steps back for a couple of reasons.

8           First of all they did not go to school  
9 to play legal beagle.

10           Secondly, the state labor and  
11 industry's claims system has all of them running  
12 scared that they're going to have to spend a day  
13 of clinic time in depositions.

14           So the Department of Labor program  
15 because it doesn't specify and it doesn't provide  
16 an easy way for them to understand what's going  
17 on, they think it's like every other worker  
18 compensation program which is going to require them  
19 to not have office time for patients, but to have  
20 to do legal maneuvers.

21           So, I think the department could do some  
22 things to improve this, but right now no, they don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 make it easy.

2 And by the same token as when we look  
3 on the CMC side of things the CMCs are barely vetted.

4 And as you saw in the decisions we reviewed don't  
5 even follow program guidelines because there's no  
6 clear program guidelines given to them either.

7 CHAIR MARKOWITZ: If we can develop  
8 some consensus diagnostic criteria I think it will  
9 be useful by all elements, the treating physicians,  
10 the CMCs, the claims examiners.

11 This should provide some greater basis  
12 for making decisions and more uniform as well.

13 Doctors not wanting to participate in  
14 legal processes, compensation systems and the like,  
15 that is a huge problem everywhere in relation to  
16 workers compensation, any federal compensation  
17 program, tort litigation, you name it. It's just  
18 universal.

19 You lecture first-year medical  
20 students and mention lawyers and they boo you.  
21 It's a problem.

22 MEMBER CASSANO: Steve, tangential to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this. A recommendation that we could make is that  
2 in these areas where there's large numbers of  
3 claimants, et cetera, that there should be some  
4 program, it doesn't have to be -- somebody should  
5 be going to the hospitals and maybe doing grand  
6 rounds, or even a lunch and learn if you will on  
7 some of these issues for the local physicians inside  
8 -- how to fix it.

9 It's obviously outside of our purview,  
10 but maybe it's not. Maybe it's something we could  
11 do when we do one of these meetings. Have a  
12 two-hour session for local docs in a meeting to  
13 talk about some of these issues.

14 CHAIR MARKOWITZ: The Department of  
15 Labor --

16 MEMBER CASSANO: I don't know if that's  
17 in our purview or not, but it should be done by  
18 somebody.

19 CHAIR MARKOWITZ: The Department of  
20 Labor has a joint task force with NIOSH and with  
21 DOE. I think it was the Ombudsman's Office to do  
22 these public meetings to discuss compensation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 program, former worker program and other things.

2 And conceivably they could engage the  
3 former worker programs to do this kind of medical  
4 education.

5 MEMBER CASSANO: Yes.

6 CHAIR MARKOWITZ: Part of the problem  
7 is frankly we don't think the doctors would show  
8 up because they're not all that interested.

9 MEMBER CASSANO: Well, unless somebody  
10 gave them CME credits. Then they would.

11 MEMBER SILVER: This is Ken Silver.  
12 Going back to this slide. Faye if this were adopted  
13 the connecting word is "or." Would you have been  
14 able to use number 2 in your mesothelioma case where  
15 the death certificate said blood clot? Would you  
16 be able to win the claim based on number 2 on this  
17 slide?

18 MEMBER VLIEGER: If there's clinical  
19 evidence then they would be eligible for Part E.

20 But under Part E the death certificate wouldn't  
21 match the clinical.

22 And so in order to qualify for survivor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 benefits the death certificate, the reason they  
2 died has to be a covered condition or a later covered  
3 condition.

4 And the death certificate would not  
5 match in this case and therefore there wouldn't  
6 be no survivor benefit.

7 The only caveat to that is if there was  
8 a claim in place at the time of death and the  
9 department was dawdling and the person died, then  
10 the Russero ruling would come into play with the  
11 survivor claim where they would be eligible for  
12 benefits.

13 But that only applies if there is a  
14 claim in process that the Department of Labor has  
15 administratively dawdled on. And then Russero  
16 would come in play because the family would be  
17 eligible for the claims that would have been  
18 eligible while the claimant was alive.

19 In most cases when you're dealing with  
20 a claim filed posthumously and the death  
21 certificate does not match for a condition covered  
22 under Part E, and that's what asbestos would be,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then you would either have to get an autopsy done,  
2 or you would have to have your death certificate  
3 changed.

4 And both of those are similar to having  
5 baby elephants.

6 MEMBER SILVER: I believe diagnostic  
7 criteria would work for causation for like a wage  
8 loss claim, or coverage in medical benefits, but  
9 then for survivors claims someone would have to  
10 administratively extend our logic and override the  
11 death certificate requirement.

12 MEMBER VLIEGER: Exactly.

13 MEMBER SILVER: Okay.

14 CHAIR MARKOWITZ: This language we're  
15 looking at actually would provide the basis for  
16 that, a rational medical basis for that decision.

17 MEMBER SILVER: Right.

18 MEMBER VLIEGER: Yes. If there's  
19 enough medical evidence that it's pretty clear-cut  
20 the coroner doesn't have any problem doing the  
21 change. But we have to have the documented  
22 medical.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I haven't had to use an autopsy result  
2 to change a death certificate. I have one pending  
3 right now and I don't know what the outcome's going  
4 to be.

5 MEMBER CASSANO: So if it requires a  
6 medical opinion working with a -- if I'm  
7 understanding this right it works like the ABD I  
8 work with it would be a medical opinion that states  
9 that if it doesn't at the cause of death then the  
10 mesothelioma despite what -- that the contributory  
11 cause of death was mesothelioma despite what is  
12 on the death certificate. Am I correct, Faye?

13 MEMBER VLIENER: That or enough  
14 evidence that they were diagnosed with it prior  
15 to their death. And as long as it was being  
16 actively treated it should have been listed on the  
17 death certificate.

18 MEMBER CASSANO: If it wasn't on the  
19 death certificate then -- we may be able to come  
20 up with some language that basically says if there  
21 is current evidence yada yada yada at the death  
22 certificate that's not included as a contributory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- secondary or contributory cause then the claims  
2 examiner should forward it to the CMC for a  
3 decision.

4 I think that would work. And then we'd  
5 give the CMC guidance on that.

6 MEMBER BODEN: Can I just ask a  
7 question about this last slide just to clarify.  
8 So these are diagnostic criteria for example for  
9 cancer of the lung, not necessarily asbestos  
10 related cancer of the lung.

11 CHAIR MARKOWITZ: Right.

12 MEMBER BODEN: Right? Okay. So if  
13 then one wanted to tie it to occupation one would  
14 need additional exposure evidence.

15 CHAIR MARKOWITZ: Right.

16 MEMBER BODEN: Yes? Okay. Just to  
17 clarify for me. I wasn't sure I understood that  
18 right.

19 CHAIR MARKOWITZ: Right. And for the  
20 other conditions in every instance we mentioned  
21 the history of asbestos exposure because they were  
22 asbestos specific conditions like asbestosis,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pleural disease or the like.

2 But here I could have added a slide to  
3 say related to asbestos requires fulfilment of the  
4 criteria plus a history of exposure.

5 MEMBER CASSANO: Well, what about  
6 mesothelioma just for relevance.

7 CHAIR MARKOWITZ: You know, actually  
8 in our recommendation for mesothelioma we said 30  
9 days and a minimum of the right job title or a  
10 history of exposure or the like.

11 So there should be some documentation  
12 of the asbestos exposure. But it usually doesn't  
13 require much.

14 MEMBER CASSANO: Okay.

15 CHAIR MARKOWITZ: Tori, getting back  
16 to your point, your suggested language, if you want  
17 to draft something and send it to me.

18 MEMBER CASSANO: I believe that's about  
19 what I was going to say. Oh it was about pleural  
20 plaque plus sarcoidosis.

21 CHAIR MARKOWITZ: No, this was about  
22 the issue that Faye was raising about the use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clinical information --

2 MEMBER CASSANO: Okay, so --

3 CHAIR MARKOWITZ: -- for a physician  
4 to opine on a cause of death when the death  
5 certificate doesn't mention.

6 MEMBER CASSANO: Okay. I will do  
7 that.

8 CHAIR MARKOWITZ: Yes. I mean, it's  
9 a bit of a tough hurdle because you're seen as sort  
10 of second guessing the doctor who actually attended  
11 the death and filled out the death certificate,  
12 but it's worth putting in there I think.

13 MEMBER CASSANO: You know, I see a lot  
14 all the time it's cause of death heart attack.  
15 Well, the heart attack is secondary to the fact  
16 that the person had pleural -- or whatever, but  
17 the final event is always the heart stops.

18 So, I don't think it's difficult and  
19 I think once it's explained, the claims examiners  
20 and to the agency that it could be -- I don't think  
21 it's going to be difficult to make it happen.

22 CHAIR MARKOWITZ: The other thing of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 course is that frequently the physician filling  
2 out the death certificate is the person who happens  
3 to be in the hospital when the person died. And  
4 they're typically being a resident.

5 So they may be covering for somebody  
6 else and may not even know the patient or what the  
7 patient's illnesses are.

8 So that's not apparent to the outside  
9 world, but it generally happens.

10 MEMBER CASSANO: Yes. Okay, so I can  
11 do that.

12 CHAIR MARKOWITZ: Okay, so if we're  
13 done with asbestos let's move onto the last slide.

14 And here I'm not expressing an opinion,  
15 I'm just raising issues. I went through the claims  
16 -- the procedure manual for other conditions that  
17 it mentions.

18 It does mention at the end of chapter  
19 2 or whatever the new chapter is Parkinsonism  
20 without saying much about it other than treating  
21 the various synonyms for Parkinsonism meaning  
22 Parkinson's disease, Parkinson syndrome,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        Parkinsonism to treat them as the same.

2                    It doesn't weigh in in terms of  
3        exposures.

4                    And then there's an exhibit which is  
5        an attachment or an appendix to the manual which  
6        lists -- which is an old thing I think from the  
7        beginning of the program which lists a number of  
8        different        entities        including        peripheral  
9        neuropathy, damage to the nerves of the arms and  
10       legs caused by a toxin.

11                   It also mentions something called toxic  
12        encephalopathy, chronic toxic encephalopathy which  
13        is brain damage due to chronic exposure to certain  
14        toxins such as metals or solvents in particular.

15        But it doesn't really go into any detail.

16                   And then also it just very briefly  
17        mentions chronic kidney disease.

18                   I don't know that there are many claims  
19        for these conditions so it's hard -- we can ask  
20        DOL if there are, because if there are not I don't  
21        think there's any rationale for trying to design  
22        presumptions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER CASSANO: Well, I just wonder  
2 if the reason there aren't any claims for them is  
3 that people don't know that organic solvents, toxic  
4 encephalopathy or Parkinson's disease.

5                   And the literature is pretty replete  
6 now with both epidemiologic and toxicologic studies  
7 that tend to prove that association.

8                   I think the other one might be -- and  
9 again, organic solvents, specifically benzene --  
10 I don't know how many of these people were exposed  
11 to benzene, and acute myelogenous leukemia.

12                  I don't think we should use how many  
13 claims are there. I mean, I don't want to open  
14 up another can of worms for the agency either, but  
15 people that have diseases that are related to their  
16 work should be compensated.

17                  But I don't think we should start with  
18 how many claims. I think we should look at the  
19 form and see what the major toxicants are in the  
20 workplace. And if we see major toxicants that we  
21 know definitively cause disease then those are  
22 easily turned into presumptions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I just mentioned the two of them.

2           There's benzene in AML and Parkinson's and both  
3 manganese -- if there's an extension of manganese,  
4 I don't know if there is.

5           So, primarily two to use.

6           MEMBER VLIEGER: This is Faye. DIAB,  
7 the board that I still chair on though it's gone  
8 kind of dark did a list of diseases most likely  
9 denied by the department. I can resurrect that  
10 list and send it.

11           But of the things that were more  
12 routinely denied was peripheral neuropathy,  
13 Parkinsonism, dementia, chronic encephalopathy,  
14 toxic encephalopathy.

15           So I can resurrect that list. But what  
16 we had done was look at the statistics from the  
17 Department of Labor and look at the things that  
18 were most likely denied.

19           So if you want I can resurrect that and  
20 send it out.

21           CHAIR MARKOWITZ: Sure.

22           MEMBER VLIEGER: All right, thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MARKOWITZ: The issue of whether  
2 they should come to presumptions depends on a number  
3 of factors like is there enough information to make  
4 decisions.

5                   There may be that a number of these  
6 different conditions, either a high denial rate,  
7 or a fair number of denials, not referring to rate,  
8 that the issue of not lack of presumptions but the  
9 issue is that the SEM is incomplete or inaccurate,  
10 or the claims process doesn't recognize certain  
11 aspects of these diseases.

12                   Or if a person has diabetes the  
13 neuropathy is always chalked up to the diabetes  
14 and never to the toxin.

15                   That's separate from the issue of  
16 whether there should be presumptions.

17                   So I guess we may need to figure out  
18 what the particular issues for these conditions  
19 are first before deciding whether they're  
20 appropriate to try to elaborate presumptions.

21                   MEMBER CASSANO: You mean as far as DOL  
22 is concerned, as far as the exposure is concerned,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or what?

2 CHAIR MARKOWITZ: Well, it could be  
3 exposure, it could be the diagnostic criteria.  
4 It can be any aspect of the process.

5 MEMBER CASSANO: Okay.

6 CHAIR MARKOWITZ: But that may involve  
7 -- I mean, if we believe that toxic neuropathy might  
8 be an issue that's not -- that there is some  
9 suspicion it isn't being addressed properly then  
10 we could look at a certain number of cases of toxic  
11 neuropathy denied and accepted and see how the  
12 decisions are made and whether we think there's  
13 any room for improvement.

14 And that's what occurred before the  
15 issue of developing presumptions.

16 MEMBER CASSANO: Yes. We could look  
17 at the Parkinson's disease too because I don't know.

18 I mean, I'm not -- organic solvents have to be  
19 a huge exposure issue in this area. I am assuming  
20 that. I don't know if that's true.

21 Can somebody educate me on that?

22 CHAIR MARKOWITZ: Solvents?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER VLIEGER:    I'm sorry, is the  
2 question that we're --

3                   MEMBER CASSANO:   Solvent exposure has  
4 to be there in these facilities.

5                   MEMBER VLIEGER:    So I don't know if  
6 anyone goes home and plays with them on purpose.

7                   MEMBER CASSANO:    Excuse me?

8                   MEMBER VLIEGER:    Yes, it would have to  
9 be occupational.   Like I said, I don't know of  
10 anybody that goes home and plays with these things  
11 on purpose.

12                   MEMBER CASSANO:    Yes, I mean people  
13 work on their own cars, they use degreasers, they  
14 ship furniture at home, et cetera.   So some of it  
15 can be from home, but usually that's incidental.  
16       It's not chronic low-level or chronic moderate  
17 level.

18                   So, I think we need to know how -- I  
19 think looking -- and that's something I guess  
20 Rosie's group and my group could do once we get  
21 past our next meeting is start looking at these  
22 neuropathy and the Parkinson's disease claims and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see whether the evaluation specifically by the CMC  
2 and/or the industrial hygienist are appropriate.

3 I hate to make more work for Rosie.

4 CHAIR MARKOWITZ: I think that makes  
5 sense. I think we need to understand some of the  
6 issues better with some of these entities before  
7 deciding which recommendations we might make.

8 MEMBER CASSANO: I was only looking at  
9 it from out of these things what is the definitively  
10 proved as a causal. That's all I was looking at.

11 I wasn't looking at it from the perspective of  
12 the agency.

13 MEMBER SILVER: Kidney disease is  
14 interesting. This is Ken Silver. In that there's  
15 a lot of diabetes out there and there's a lot of  
16 uranium at these sites and many organic chemicals  
17 have been shown to cause various kinds of kidney  
18 damage in animals in particular.

19 And there's evidence of synergistic  
20 effects.

21 So if the statistics from DOL suggest  
22 that kidney disease looms large, the issue is them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 getting people to take a look at that.

2 MEMBER CASSANO: Well, certainly, yes,  
3 kidney disease and adrenal carcinoma is another  
4 one related to organic solvents. Specifically  
5 CTE.

6 MEMBER SILVER: And we did look at a  
7 claim that was pretty much a slam dunk a few months  
8 ago. It was paid, but -- teasing apart kidney  
9 disease due to diabetes versus uranium exposure.

10 There were two cases at Los Alamos.  
11 Both had happy endings for the claimants. But it's  
12 a very small sample.

13 A much larger n in the DOL system.

14 MEMBER CASSANO: That's part of the  
15 problem with how we look at these cases is that  
16 they're not randomly picked. And I think we saw  
17 that when we went to Seattle.

18 So, I'm not sure if there's some way  
19 to get a list of what's been adjudicated this month  
20 and randomly pick rather than having each district  
21 office pick the ones they want to send to us.

22 Faye, you may want to elaborate on that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a little bit more based on our meeting in Seattle.

2 But we'll deal a lot more with that on Tuesday  
3 I think is when we meet, correct?

4 MEMBER VLIEGER: The 27th, isn't that  
5 Monday?

6 MEMBER CASSANO: The 27th.

7 CHAIR MARKOWITZ: That's Tuesday,  
8 11:30 a.m.

9 MEMBER VLIEGER: Yes, Tuesday. This  
10 seems, and I don't know how random random is when  
11 you're doing a specific district office and we're  
12 looking at claims from that district office.

13 It just seems that the claims process  
14 is becoming so convoluted that anything we can do  
15 to keep it from becoming as convoluted as it is.

16 Maybe taking out as much as possible  
17 the individual decision-making.

18 I agree that when we went to Seattle  
19 -- the people seemed to generally know what they  
20 were doing. What we were working with was pretty  
21 picked.

22 CHAIR MARKOWITZ: Pretty what?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER CASSANO:    Yes, there wasn't  
2 anything really controversial that we saw.  It was  
3 one case that they actually agreed was adjudicated  
4 incorrectly and they were going to take another  
5 look at it.

6                   So I'm not sure if we saw the best of  
7 what there was, or whether -- I know it wasn't a  
8 random sample because they picked them based on  
9 their medical interests from the supervisor's  
10 position.

11                   I don't know that we could get really  
12 down and dirty in looking at these, other than to  
13 say send me a list of numbers of all the Parkinson's  
14 disease cases who have been adjudicated this month  
15 and I'll just randomly pick numbers.

16                   I don't need the files initially, I just  
17 need the case numbers and I'll just randomly pick  
18 numbers, or somebody will randomly pick numbers.

19                   And that's a little bit better than somebody --  
20 I'm hoping they're not cherrypicking them, but they  
21 probably are a little bit.

22                   MEMBER WHITLEY:    This is Garry.  If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you do Parkinson's you need to do current cases  
2 because if you go back three to five years ago they  
3 didn't pay for Parkinson's at all.

4 MEMBER CASSANO: Right. Because  
5 there was not a lot of definitive evidence that  
6 organic solvents caused it.

7 MEMBER WHITLEY: Right. But recently  
8 they have been paying for a few at least in our  
9 area that I see Parkinson's.

10 Neuropathy they used to not pay at all.  
11 Now they're paying some neuropathy cases if  
12 there's no signs of diabetic even in the family  
13 -- of diabetes even in the family.

14 If there's any signs of diabetes  
15 they'll throw it out in a hurry.

16 The one they won't touch is pancreatic  
17 cancer. I don't know anybody that's been paid for  
18 pancreatic cancer. I could be wrong, but everybody  
19 I know, they get back a letter that says there's  
20 no evidence of any chemicals that cause pancreatic  
21 cancer.

22 MEMBER VLIEGER: To clarify, that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 only under E for toxic exposure, not Part B.

2 MEMBER CASSANO: There is some limited  
3 evidence for I forget what it is, an association,  
4 but it's pretty darn weak for pancreatic cancer.

5 Unless -- could arguably disagree I don't think  
6 with not compensating pancreatic cancer. There's  
7 just not enough -- there's nothing practically out  
8 there about toxic etiology of pancreatic cancer.

9 From what I've been able to see.

10 CHAIR MARKOWITZ: I agree.

11 MEMBER SILVER: So in terms of a  
12 process we could ask DOL for more systematic  
13 sampling, or counts of cases where these issues  
14 may come up.

15 Another thing we might do is if the  
16 advocates who are listening to weigh in with, you  
17 know, helpful one-page emails to flesh out where  
18 we might be useful on developing new presumptions.

19 MEMBER VLIEGER: I want to agree with  
20 Garry that Parkinson's disease even with adequate  
21 information is a hit or miss entity to get it  
22 accepted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And recently I had a case accepted that  
2 had been pending for more than a year.

3           I cannot tell you the difference  
4 between the information provided in that claim and  
5 the other claims that got accepted and five others  
6 got denied.

7           It just seems very eclectic with what  
8 they accept and don't accept. And it hinges on  
9 the contract medical consultant report.

10           MEMBER CASSANO: Probably hinges a lot  
11 on the knowledge of the CMC.

12           MEMBER VLIENER: I'm not willing to  
13 cast any aspersions but I totally agree with you.

14           MEMBER CASSANO: Because -- and it  
15 changes every month practically.

16           CHAIR MARKOWITZ: So we have just five  
17 minutes left, and I wanted to try to see if we could  
18 figure out what our next steps are.

19           And I would actually think that the  
20 report that Faye talked about a while back which  
21 was cases frequently denied, even though it would  
22 -- you couldn't publish the results in a journal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it might actually be useful to us.

2 Because we certainly want to try to pick  
3 up on diseases that were controversial but where  
4 there were enough cases that our presumption would  
5 help more than a couple of people.

6 And then if we pick diseases that we  
7 want to look at next that we ask for a listing of  
8 all the cases in a certain time period where this  
9 disease was at issue, just the case numbers, and  
10 then we pick a random sample and take a look.

11 MEMBER CASSANO: I think that's a good  
12 idea.

13 MEMBER VLIEGER: This is Faye. I have  
14 a copy of the data that was assembled by the  
15 Institute of Medicine for the program under -- by  
16 request from the Department of Labor.

17 And I'm going to be sending that to  
18 Carrie shortly.

19 In the top 10 are a number of malignant  
20 neoplasms of different places. But something that  
21 is commonly denied as emphysema. I've seen a  
22 turnaround in that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I will send this out. And this is  
2           from -- IOM was contracted by the Department of  
3           Labor. So this is from 2011 but I know I've got  
4           another list that DIAB and NTAC put together as  
5           part of what we would need to recommend things to  
6           the Department of Labor.

7           I'm sorry, I'm still looking for that  
8           one.

9           MEMBER CASSANO: I can get the full IOM  
10          report as well. I mean, anybody can. It's nid.edu  
11          and just send it out.

12          MEMBER BODEN: One other thing that we  
13          have to remember is it may be that particular  
14          entities that are frequently denied are actually  
15          denied for good reason. So it is an -- we have  
16          to keep in mind.

17          CHAIR MARKOWITZ: And we should ask or  
18          re-ask DOL if they have a list of the frequency  
19          of denied conditions.

20          I think we've asked that before but I  
21          don't recall the specifics. But we should at least  
22          try or try again if they have information on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, is there anything -- I think we've  
2 pretty much discussed most of the things that are  
3 relevant and even other things. No? Talk is good.

4           Are there any other things relating to  
5 presumptions that we need to discuss today?  
6 Otherwise we should adjourn.

7           Okay. So I'm going to -- let's see,  
8 I think Tori is going to send me some suggested  
9 language. I'm going to modify some of the things  
10 based on comments on the call. Then John Dement's  
11 written comment.

12           I'll draft a rationale to go along with  
13 this and then circulate this before our fall  
14 meeting.

15           I don't think that we have enough  
16 business to call for another presumptions working  
17 group meeting prior to our fall meeting. Does  
18 anybody think otherwise?

19           MEMBER SILVER: Is following up with  
20 DOL to see how they're receiving our  
21 recommendations something for the working group  
22 or something for the full board?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR MARKOWITZ: That's for the full  
2 board. Doug gave us a brief report on Monday that  
3 things are either within the program being worked  
4 on or in the Secretary's office. And that's all  
5 we know at this point.

6                   But we'll continue to monitor it. And  
7 continue to send in new recommendations.

8                   MEMBER BODEN: Okay, well Steven, your  
9 fear of not having to end well before our designated  
10 time has been allayed.

11                   CHAIR MARKOWITZ: Okay, that's good.  
12 Okay, thank you and we'll continue to communicate  
13 around this particular presumption medical section  
14 for asbestos related disease.

15                   And then we're going to set the schedule  
16 for the full meeting in October or November so  
17 you'll hear from Carrie. Thank you.

18                   (Whereupon, the above-entitled matter  
19 went off the record at 3:00 p.m.)

20

21

22



1

2

3

4

5

6

7